

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON OAK RIDGE GASEOUS
DIFFUSION PLANT (K-25), PADUCAH
GASEOUS DIFFUSION PLANT, AND
PORTSMOUTH GASEOUS DIFFUSION PLANT

+ + + + +

FRIDAY
DECEMBER 7, 2012

+ + + + +

The Work Group convened telephonically at 1:00 p.m., Eastern Standard Time, Phillip Schofield, Chairman, presiding.

PRESENT:
PHILLIP SCHOFIELD, Chairman
JOSIE BEACH, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
ELIZABETH ALGUTIFAN, ORAU Team
JOE FITZGERALD, SC&A
JENNY LIN, HHS
CHUCK NELSON, DCAS
JIM NETON, DCAS
JODIE PHILLIPS, ORAU Team
MICHAELNE RODRIGUEZ, ORAU Team
MATTHEW SMITH, ORAU Team
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C O N T E N T S

Welcome and roll call	5
Issues resolution for Paducah	5
\$ SC&A presentation	
\$ WG discussion	
Issues resolution for Portsmouth	39
\$ NIOSH presentation	
\$ SC&A Response	
\$ WG discussion	
Issues resolution for K-25	50
\$ NIOSH presentation	
\$ SC&A Response	
\$ WG discussion	
Path forward for issue resolution for Portsmouth, K-25, and Paducah	88
Adjourn	94

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

(1:02 p.m.)

MR. KATZ: This is the Advisory Board of Radiation Worker Health. It's the Portsmouth, K-25, Paducah Work Group. We have an agenda that is posted on the NIOSH website under the Board section under the meeting section for today's date. And along with the agenda, we have issue matrices for all three sites also posted. So I just wanted to note that for everyone on the line.

And then let's start with roll call for Board Members to start with, beginning with the Chair. Since we are speaking about specific sites, please note your conflict of interest situation with respect to each site.

(Roll call)

MR. KATZ: Okay, let me just remind everyone else, mute your phones except when you are talking, to help with the audio quality and thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN SCHOFIELD: Okay. We are
2 going to start off with the Paducah site.
3 There are five items still open that after
4 DCAS put out their update, their comments,
5 their resolutions, SC&A has reviewed those and
6 recommend that we close those five items.

7 So maybe we'll turn it over to SC&A
8 and -- for their findings, what they found, so
9 that they could -- these items could be
10 closed.

11 MR. FITZGERALD: Okay, this is Joe.
12 Just going to the matrix, I think everybody
13 has a copy of this, it's dated July 2011, but
14 the update is October of this year, October
15 2012.

16 Okay? And on item 5, issue 5, that
17 was a contamination control and extremity dose
18 issue. That Site Profile finding was a
19 question of whether sufficient information and
20 background was provided for the dose
21 reconstructors in terms of the significance of
22 skin exposure and whether and how to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that.

2 I think the NIOSH action was to
3 provide more references to tie it to specific
4 documents and the -- I think there was
5 agreement in the Work Group last time that
6 that was certainly a good step forward and I
7 think NIOSH at that point in time, however,
8 acknowledged that they wanted to take a
9 further look, particularly into the technetium
10 99 exposures at the gaseous diffusion plants,
11 and you know, more elaboration about the
12 implications of technetium 99 in terms of
13 exposure and how one ought to address any
14 missed dose due to technetium 99.

15 Essentially, what DCAS provided, I
16 think, in the spring, was a new procedure,
17 ORAU RPRT-59, which was external exposure to
18 technetium 99 at the gaseous diffusion plants
19 dated February 7th which was submitted to the
20 Work Group for review.

21 At the workers' request, we
22 reviewed that and felt that was certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 responsive to the concerns that we raised in
2 our review, and that was part of the -- that
3 was the basis for the recommendation that we
4 forwarded to the Work Group, suggesting that
5 that be closed.

6 So that's the -- that's kind of the
7 background, and the recommendation to the Work
8 Group. Are you still there?

9 MEMBER BEACH: Yes.

10 MR. FITZGERALD: Okay.

11 MEMBER BEACH: I was waiting for
12 Phil.

13 CHAIRMAN SCHOFIELD: Sorry, I was
14 on mute.

15 MR. FITZGERALD: Okay, I was saying
16 that certainly was the -- where we came out on
17 that and we felt the report was a good one and
18 responsive to the biggest issue on the skin
19 side, which is technetium 99.

20 CHAIRMAN SCHOFIELD: Okay. And I
21 don't remember, has that White Paper been
22 posted for the general public, the paper on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the --

2 MR. NELSON: I believe the report,
3 report 59 for technetium, I think it's on the
4 website.

5 CHAIRMAN SCHOFIELD: It's on the
6 website now? Okay, because I think I have
7 them.

8 MR. NELSON: I think I saw it just
9 a couple of days ago. Maybe somebody could
10 verify that. I don't have a computer in front
11 of me.

12 CHAIRMAN SCHOFIELD: Okay, because
13 the copy I have, I know isn't there. So --

14 MR. SMITH: This is Matt Smith,
15 ORAU team. I can verify it's up there. At
16 least I pulled it off under the Portsmouth
17 section of the website. It's likely on all
18 three.

19 CHAIRMAN SCHOFIELD: Okay. Thank
20 you.

21 MR. FITZGERALD: Phil, it's up to
22 you. I can go through all the open items from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the last time --

2 CHAIRMAN SCHOFIELD: Why don't you
3 just go ahead and go through them there, so
4 people can understand your findings.

5 MR. FITZGERALD: All right. Just
6 moving along then to the next open item on the
7 matrix, which is issue 10, that was a question
8 of whether in fact there was empirical
9 information for Paducah relative to the
10 particle sizes involved, such that you
11 wouldn't necessarily have to default to the
12 standard 5 micron AMAD, and we felt there were
13 some references that we found that indicated
14 that there might be in fact some actual
15 measurements that would be usable, that would
16 -- would, you know, certainly move one to use
17 a lower number, a lower figure.

18 And you know, we went back and
19 forth on that, and I think that the action
20 that resulted from the last Work Group meeting
21 was, was DCAS agreeing to go back and just
22 take another look at the references and try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pin down this question a little better as to
2 whether or not in fact it were -- it was
3 documented measurements or any reports that
4 would in fact be usable and would not
5 necessarily lead to the use of the default
6 measurement.

7 And to summarize, I think the
8 response was an outline of what DCAS went
9 through in terms of its research and it was a
10 fairly good research and I think it -- I can't
11 confirm that -- there were in fact some
12 citations, but the citations themselves
13 involved some inferred or assumed
14 measurements, not actual measured particle
15 sizes, and therefore it wasn't necessarily any
16 real improvement over use of the 5 micron
17 default.

18 So I think in the final analysis,
19 it was validated that it was not in fact any
20 real usable, empirical measurements that would
21 move one to not use the default and it was
22 felt that the 5 micron particle size was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 claimant-favorable in that context.

2 So we accepted that and recommended
3 to the Work Group that the follow-up that the
4 Work Group had asked for had been done and we
5 felt that the citations that we had found were
6 explained pretty well as to where they stood
7 relative to the application. We felt that
8 this was a pretty good argument to remain with
9 the 5 micron.

10 MEMBER BEACH: What about the
11 aerosol size? That was mentioned in one of
12 the bullets, too.

13 MR. FITZGERALD: Three to 3.5
14 micron?

15 MEMBER BEACH: Yes.

16 MR. FITZGERALD: Well, I think -- I
17 could defer to NIOSH on this -- but their
18 argument was in terms of ICRP 66, that
19 modeling, that it was roughly equivalent to
20 the 4 to 5 micron, you know, actual 5 micron
21 measurement AMAD.

22 MEMBER BEACH: Okay. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: So I think even
2 though that was found -- and this was part of
3 the confusion. We did find some citations in
4 our review that suggested smaller particle
5 sizes. But I think there's some explanations
6 as to why that would be either equivalent to
7 or not necessarily usable in place of the 5
8 micron.

9 So that's kind of, you know, that's
10 kind of where we came out. You can argue
11 difference between 4 and 5, but it's pretty
12 much equivalent to 5, based on that research.
13 I don't know, Chuck, did you have anything to
14 offer on that?

15 MR. NELSON: That's correct, Joe.
16 What it was, it was a mass medium diameter of
17 3 to 3.5. If you go into ICRP 66, 1994, look
18 at equation D5, we calculated that that number
19 of 3 to 3.5 -- and it came out in the 4 to 5
20 AMAD. So --

21 MEMBER BEACH: So not much
22 different then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: No, pretty much
2 equivalent.

3 MR. FITZGERALD: And I think that
4 was kind of the question we had, in seeing
5 these other numbers crop up. We weren't sure
6 if those had been fully reflected in the TBD,
7 and I think it has been rationalized now.

8 MR. NELSON: Yes, the other
9 reference was AMAD of 1, but they were
10 referring to ICRP 30 recommendations which had
11 been later superseded. So it was a number
12 people threw out on occasion in some of those
13 documents, and it's just because that was what
14 the current default was at the time.

15 MR. FITZGERALD: Any more questions
16 on issue 10? On particle size? If not, just
17 moving to issue 17. That of course addresses
18 the coworker model, and the question of
19 whether or not there was sufficient site-
20 specific information regarding job categories
21 or buildings, and this is not an uncommon
22 issue with coworker models, and we -- in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at the Site Profile, we do raise some
2 questions as to whether or not the examples
3 that were given in the listings of job
4 categories in fact were -- were really a
5 sufficient list.

6 And let me just see --

7 MR. NELSON: Hey Joe, I could pick
8 that up for you.

9 MR. FITZGERALD: Maybe you could
10 pick that up -- get them to think some of your
11 analysis --

12 (Simultaneous speakers.)

13 MR. FITZGERALD: -- used is to use
14 the 95th percentile distribution. I think
15 what you are saying is you have sufficient --
16 sufficient information but just to be more
17 conservative, guidance is going to be added
18 that will point to the 95th percentile, just
19 to make sure.

20 MR. NELSON: Yes, what we did, Joe,
21 is -- this is Chuck Nelson -- we put some
22 verbiage in there, basically for the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part was, you know, can we identify some of
2 these job categories and areas where there's
3 higher potential for internal exposure, to
4 give the dose reconstructionist an idea, you
5 know, for that specific site, of where the
6 higher category jobs are that -- where the
7 potentials are higher.

8 So we put some nice tables in there
9 and added some verbiage and including some
10 work locations. Then on top of that we laid
11 out how to assign dose, whether it be
12 environmental dose, the full distribution of
13 coworker dose or the 95th, and we gave
14 specific instances or guidance for the dose
15 reconstructor of when they could assign the
16 95th.

17 You know generally speaking you are
18 going to assign the full distribution, the
19 coworker dose. There are going to be possibly
20 instances where there's going to be somebody
21 that may get the 95th.

22 So we put some good guidance in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 procedure. It's found in Attachment B and
2 Attachment C of Paducah internal TBD.

3 MR. FITZGERALD: Yes, and I think,
4 just a little more background for the Work
5 Group in reflecting on this a little bit, you
6 know, part of this discussion was, there is an
7 OTIB-14, which provides guidance about
8 providing, you know, applying the
9 environmental internal doses as a means to,
10 you know, assign doses when you know, other
11 doses aren't available and we questioned
12 whether that would be sufficient if you didn't
13 really have site-specific information. So a
14 lot of it just stemmed from can you handle
15 this in a generalized sense or do you need
16 more specific information?

17 So I think what NIOSH is coming
18 back with is that the information appears to
19 be sufficient but reflecting the fact that
20 there might be some variability's, because you
21 don't have all the site-specific data that you
22 would like, I think the 95th percentile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 distribution is going to be suggested just as
2 a means to make sure that we are on that side
3 of the curve in terms of conservatism.

4 So, in a way I think that is
5 probably a good solution to what is a
6 difficult -- you know, there probably isn't
7 that kind of site-specific data available that
8 would enable you to have more -- a better idea
9 on those dose assessments.

10 MEMBER BEACH: I guess the biggest
11 thing -- this is Josie -- is to -- how it is
12 written up in the TBD and how clear it is to
13 the dose reconstructor of which one to use.

14 MR. NELSON: Well if you go -- it's
15 actually, this procedure has been issued on
16 8/24/12.

17 MEMBER BEACH: Right.

18 MR. NELSON: So it's in the current
19 -- if you want to look at it, it's in the
20 current Paducah internal TBD. It's like
21 attachment B and C

22 MEMBER BEACH: Okay. Joe, did you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get a chance to look at that?

2 MR. FITZGERALD: Yes.

3 MEMBER BEACH: Okay, so that's what
4 you're looking at.

5 MR. FITZGERALD: Yes, and this is
6 kind of not an uncommon Site Profile question,
7 which is you know, not necessarily having the
8 kind of facility-specific or job category-
9 specific information that you would
10 necessarily want to make a coworker model more
11 precise or more accurate.

12 But what do you do to compensate,
13 and I think we were looking for that -- we are
14 looking for that approach to be reflected in
15 the TBD.

16 DR. NETON: I might have a couple
17 of points here. Joe is absolutely right that
18 this issue comes up periodically as to what we
19 are going to use, but it has been consistently
20 our position that, in most cases that we are
21 aware of, the workers that were more highly
22 exposed were monitored, therefore we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 their data. The ones that weren't monitored
2 were typically ancillary support workers, and
3 that is why we feel justified using the 50th
4 percentile with the full uncertainty
5 distribution.

6 But what we also recognize -- and
7 this is the issue that came up -- was that
8 there are some cases where that might not be
9 appropriate, and that's what we tried to
10 correct or to amplify on in the procedure,
11 that for instance a person may have been a
12 chemical operator or something and with his --
13 flat out lost his monitoring record, well, we
14 wouldn't use the 50th percentile in that case.
15 We would of course use the 95th percentile.

16 So that's what this additional
17 information -- tries to accommodate.

18 COURT REPORTER: This is the court
19 reporter. Was that Dr. Neton just speaking?

20 DR. NETON: I'm sorry, this is Jim
21 Neton, yes.

22 CHAIRMAN SCHOFIELD: All right,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Jim, this is Phil Schofield. I've got a quick
2 question on that. How well does that data fit
3 across the three facilities as far as the
4 size, so that you would have that information
5 that uses a coworker model?

6 DR. NETON: Well, I think we have
7 individual coworker models for each site. I
8 don't think we have used one size fits all.
9 So that's not the case.

10 But if you are asking, do we know
11 the job category of the workers, I think we
12 have a pretty good handle in most cases on
13 what positions people had and when we don't,
14 we would assume a worst case scenario.

15 But again, this is an issue that
16 we, you know, the application of the internal
17 coworker model has come up at many sites.
18 Again, we feel this default justification of
19 50th percentile is acceptable, but we
20 acknowledge that that shouldn't be always the
21 case. There are certain exceptions that we
22 have to make, and we were careful to make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we don't inappropriately assign the 50th
2 percentile.

3 CHAIRMAN SCHOFIELD: Okay, I guess
4 that answered my question. I kind of asked it
5 in an awkward way. But that did answer it, so
6 thanks.

7 MR. FITZGERALD: Anything more on
8 that particular issue?

9 (No response.)

10 MR. FITZGERALD: Okay. Moving to
11 issue 24s, and the s is -- this was, as
12 opposed to a finding, it was a secondary
13 finding in the Site Profile, the only one
14 that's sort of left in abeyance.

15 This was an issue of verification
16 and validation, which is sort of a standard
17 thing for the dose database, in this case the
18 bioassay database being used, and just the
19 issue that was raised in Site Profile was to
20 what extent did NIOSH have an opportunity to
21 look at the V&V of the internal bioassay
22 database that was being used, and I think, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that point in time there had not been a review
2 on that basis, as I recall, and the Work Group
3 felt that there -- you know, recognizing that
4 this is a pretty extensive and open-ended
5 issue when, you know, there's thousands of
6 data points, but it was felt that there should
7 be, and as we have done in other sites, some
8 degree of a sampling process that would
9 provide some confidence that the database in
10 fact that was being used, the electronic
11 database, was valid, and did not have too many
12 discrepancies.

13 And this issue, and this is
14 something the Work Group will have to
15 consider, I mean, there's no, you know, magic
16 number or statistical test in terms of
17 sampling. At the other sites and other SECs
18 we have gone through different sampling
19 regimes to look at this very same question,
20 the validity of the data.

21 And in this case, I think Chuck and
22 his team looked at over 614 data lines -- I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not sure what the difference is, lines and
2 pieces of data, it may be the same -- and
3 found about five percent of discrepancies, and
4 these discrepancies are not all created equal.
5 I mean, some of them actually dealt with
6 transcription issues and that's not uncommon,
7 some incorrect dates, two incorrect bioassay
8 results, which probably are more significant.
9 But nonetheless, that was the result of that
10 particular sampling.

11 Now, I think we -- we recommended
12 closure but with certainly some discussion
13 about how to, you know, how to address that
14 particular sample size and that's something
15 for the Work Group I think to consider.

16 I thought it was sufficient to get
17 at least a sense of the significance of any
18 discrepancies in the database and like I said,
19 there's not any magic standard that one meets,
20 but this seems to be relatively low. So I'll
21 stop there, but that's pretty much where the
22 sampling came out in terms of the V&V.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: In the errors
2 and the sampling the stuff, what kind of
3 spread are we talking about there? I mean, is
4 this really very significant or not?

5 I mean, that's what I couldn't
6 ascertain.

7 MR. FITZGERALD: Well, it compares
8 with what we have found at other sites. We
9 have found worse. We have found better. It's
10 sort of, you know, it's not an outlier.

11 It's notable in terms of the
12 discrepancies found. Certainly, the
13 conclusion was it was the five percent rate
14 was acceptably low.

15 Now, you know, I guess it's -- it
16 really falls to the Work Group as to how one
17 goes about determining what's acceptably low
18 in terms of the finding. This one is -- this
19 one I think, sort of compares well, but isn't
20 necessarily a low finding in terms of number
21 discrepancies.

22 CHAIRMAN SCHOFIELD: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Joe, the worst --
2 this is Josie again -- the worst part would
3 have been the incorrect bioassay results
4 entered. Is that correct?

5 MR. FITZGERALD: Yes. The dates
6 would have implications, too.

7 MEMBER BEACH: Oh, the dates would
8 too, you are right. So --

9 MR. FITZGERALD: You have four
10 incorrect dates, two incorrect bioassay
11 results. You know, what we have done in other
12 reviews, Josie, and other sites, we have done
13 additional sampling, for example, to see if in
14 fact that's a representative finding.

15 But there's no other real good way
16 to know if that's reflective or not, because
17 you start getting into large numbers quickly
18 so that that becomes the question.

19 MR. NELSON: Also, I don't know if
20 anybody from ORAU can give us the number of
21 man-hours or person-hours spent on this.
22 There was quite a bit of effort involved in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this and just to give you an idea of what was
2 done, they went to handwritten logbooks and
3 they randomly selected all these different
4 lines and they compared them directly against
5 these databases, and there was quite a bit of
6 effort involved, I mean, if you are going to
7 want to do a large sample size, it's going to
8 take actually a lot of man-hours. It's going
9 to be a substantial effort and I mean, this
10 wasn't a small effort by itself.

11 MR. FITZGERALD: What -- just more
12 reflections -- what we have done at other
13 sites, we have looked for missing years and I
14 don't know if folks will recall, you know, we
15 I think at one of the sites, we are missing
16 two years of bioassay data as it turns out.

17 And that's the kind of major gaps
18 that we have looked at. Other sites, we have
19 looked at whether or not the transcription
20 errors were acceptably low, not that there is
21 a standard number but just looking at what we
22 would find in terms of the transcription

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 errors, and we would always find a few
2 percentage of the data being transcribed
3 wrong.

4 As far as errors themselves, we
5 have looked at that in the past and have found
6 a certain percentage of just plain errors,
7 where the bioassay or dose results were not
8 entered correctly and things like dates.

9 So it's always a subjective thing
10 as to, you know, as to whether or not the
11 results are -- you know, with quotation marks,
12 acceptably low or not, and what one does with
13 the data when you get the feedback.

14 But I think the sampling itself is
15 what the Work Group is looking for, as some
16 means to get into this question of validating
17 the database that was being used in coworker
18 analyses and doing dose reconstruction.

19 I don't know if, Chuck or Jim, you
20 can provide some perspective. This is not --
21 this is a, a standard issue that comes up at
22 every site as far as the validity of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 database, and maybe how it compares with the
2 kind of results we've seen, doing the same V&V
3 for data such as Los Alamos. We did a V&V on
4 Los Alamos, I think, not too long ago and I
5 don't have it in front of me but I think
6 that's the kind of comparison that maybe the
7 Work Group needs.

8 DR. NETON: Yes, this is Jim, I
9 might fill in a little more here. Out of the
10 30 -- we looked at something like 600 lines,
11 and of the 30 that were -- the errors were
12 identified, I believe it was like 24 that were
13 actually in the logbook but not in the
14 database.

15 So in my opinion, unless there was
16 some differential bias, meaning you know, they
17 threw away all the incident high samples or
18 something like that and there's no indication
19 of that, then that leaves us only with 6
20 errors out of the 600 lines, and some of those
21 were dates and if they were the wrong date
22 within the same year, it would have no effect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the coworker model because those were
2 pretty much done on an annual basis.

3 So I think it's significant to
4 point out there was 24 out of the 30 errors
5 that were identified, that constitutes that
6 five percent where they just weren't in the
7 logbook, I mean, in the database.

8 MEMBER BEACH: This is Josie. The
9 data set was from 52 to 76, wasn't it? Is
10 that -- what years did you guys pull that
11 validation from? Do you remember?

12 MR. FITZGERALD: I don't have --

13 DR. NETON: We pulled them from
14 every year.

15 MEMBER BEACH: Every -- so you just
16 did a certain percentage from each year?

17 DR. NETON: Yes.

18 MEMBER BEACH: All the way back in
19 -- all the way back?

20 MR. NELSON: And Jodi, correct me
21 if I am wrong, but I think there was a couple
22 of years that we didn't have a logbook, and in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those years we went directly to the NOCTS file
2 and we compared the data in the NOCTS files
3 against the logbook entries, and we were -- we
4 didn't find any errors in that whatsoever.

5 MS. PHILLIPS: That's correct and
6 we actually did it from 1962 all the way
7 through 1988.

8 MR. NELSON: What was the last
9 year?

10 MS. PHILLIPS: 1988.

11 MR. NELSON: Okay.

12 MS. PHILLIPS: And '75 and '76 were
13 the two years that we had to use NOCTS files.

14 CHAIRMAN SCHOFIELD: This is Phil
15 Schofield. I've got a question. Where did
16 they get the data for the NOCTS files, since
17 the logbooks seem to be missing?

18 MR. NELSON: I don't know if they
19 were the hard copy ones that -- there was like
20 a -- I don't know if it's 4x5 or 3x5 urine
21 cards, and I don't know if it's photocopies of
22 those or not. Jodi might now better.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. PHILLIPS: It's whatever is
2 provided in the files that is the target to do
3 the dose reconstruction. It would be whatever
4 DOE provided for a specific claim, and we used
5 actual claims.

6 CHAIRMAN SCHOFIELD: Okay.

7 MS. PHILLIPS: It could have been a
8 copy of the written logbook. We don't
9 actually have the logbooks. It could have
10 been a 3x5 card or it could have been another
11 method of their record-keeping because there
12 are other methods.

13 MR. NELSON: And when we say we
14 don't have the logbook, I believe that to mean
15 that we didn't have it in our Site Research
16 Database, correct?

17 MS. PHILLIPS: That's correct.

18 MR. NELSON: Okay, so there was
19 only a couple of years that we didn't have,
20 '75 and '76.

21 CHAIRMAN SCHOFIELD: Did anybody
22 else have any questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Phil, on this one,
2 again, I think it's so subjective, if the Work
3 Group finds it of value, perhaps some sense of
4 how this compares with other V&Vs that have
5 been conducted on facilities like this. I
6 mean, I don't know how else to give you some
7 perspective on this, because it is very
8 subjective, you know, when you do a sampling
9 analysis of this sort.

10 MEMBER BEACH: Well, I think that
11 would be of value -- this is Josie again, Joe
12 -- to do that comparison.

13 MR. FITZGERALD: Now, I don't know
14 -- this is -- is this the same circumstance --
15 I know for Paducah we don't have a V&V because
16 DOE didn't do one on Paducah.

17 I don't think that's necessarily
18 the circumstance with the other two GDPs. Is
19 that right, Chuck?

20 MR. NELSON: Can you say that
21 again?

22 MR. FITZGERALD: I mean, in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of validating the actual database, the
2 internal database that is being used, I know
3 we don't have -- DOE did not do that for
4 Paducah and I don't believe you all had done
5 that either.

6 Is that the circumstance for the
7 other two GDPs?

8 MR. NELSON: I am going to have to
9 ask the ORAU subject matter experts on that
10 because I am not sure on that, to be honest.

11 MS. ALGUTIFAN: This is Elizabeth
12 Algutifan, Portsmouth subject matter expert.
13 To my knowledge there has not been anything
14 like that done for Portsmouth.

15 MR. FITZGERALD: Because you know,
16 the real implications that we raised for
17 Paducah, and that would certainly apply to all
18 the GDPs, is if, if none of the internal dose
19 data has been validated by DOE and, you know,
20 certainly has not been reviewed by NIOSH
21 except for this one sample for Paducah, then
22 you know, there might be a broader issue of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just trying to establish you know, the
2 condition of that data.

3 And I think this is a, this is a
4 good first step, and a good step in itself.
5 But I think that's probably the question for
6 the Work Group, is to -- if it hasn't been
7 done anywhere, then that may be something --
8 we recommended closure based on the fact that
9 the Work Group wanted a sampling done, but I
10 think that maybe the broader question for the
11 Work Group is maybe -- whether it is satisfied
12 that the data has been validated across the
13 three GDPs, to the degree that you can rely on
14 the internal database.

15 And that's a tough one, and I think
16 that's got to be balanced against the question
17 of resources and it has to be addressed from
18 the standpoint of what's a reasonable measure
19 of validity. I mean I think that's a very
20 subjective thing, but that's maybe something
21 you might want to think about.

22 DR. NETON: Well, this is Jim, one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thing we've got to keep in mind, is that these
2 sites are already SECs. So if the database is
3 somehow, I don't know how you determined it
4 was invalid, and I don't know what we would
5 do.

6 I mean, this is the data that we
7 have to work with. We have demonstrated that
8 there's a five percent or less error rate in
9 this current one. There's a lot of money
10 going to be spent to validate these databases,
11 and I'm not sure to what end. That's my
12 opinion, but again, they are already in the
13 Special Exposure Cohort, so if it were
14 invalid, then we just couldn't use it at all.

15 CHAIRMAN SCHOFIELD: So I've got a
16 question. I mean, not being a mathematician
17 or anything, is when you do a very simple
18 statistical analysis, this five percent, how
19 much would that have an impact on dose
20 reconstruction, a dose reconstruction, someone
21 did not qualify under an SEC and needed a
22 partial dose reconstruction done?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: This is Jim again. As
2 I tried to point out earlier, 24 of the 30
3 problems that were found were a data that were
4 in the logbooks but did not make their way
5 into the database.

6 Unless you have some knowledge that
7 they intentionally threw away high results,
8 then one would make the logical assumption
9 that there was no differential bias in the
10 numbers. In other words, the values that are
11 missing would fall on either side of say the
12 50th percentile or could be just all null,
13 null values.

14 So I don't think it would have much
15 effect at all, if that were the case, on the
16 50th percentile and the ascribed uncertainty
17 distribution that we used, because you are
18 only talking about five percent of the
19 samples.

20 If 95 percent of the samples are
21 valid, then a 5 percent missing number of
22 values is not going to affect substantially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the overall models unless they were like huge,
2 huge sample results that would drive the 95th
3 percentile much higher. But again, we have no
4 knowledge that that was the case here.

5 CHAIR SCHOFIELD: I would like to
6 put this out to the rest of the Work Group.
7 It seems like most of it is covered in an SEC,
8 that -- kind of let this go at this point,
9 unless we have reason to come back to it and
10 find something that would really throw this in
11 question, just because of the time and cost
12 and everything.

13 MR. FITZGERALD: Well, I think Jim
14 makes a good point, that from a pragmatic
15 standpoint, this is a legacy SEC site so that
16 you know, who is in and who is not is not
17 material, I think to the SEC standpoint
18 anyway. So that's another factor obviously.

19 MEMBER BEACH: Yes, this is Josie
20 and I agree with that, Phil.

21 CHAIRMAN SCHOFIELD: Okay,
22 appreciate that, Josie. Well, I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 closes up unless anybody else has anything
2 they want to bring up at this point?

3 MR. FITZGERALD: No, I mean that's
4 all the issues that were highlighted in the
5 Paducah review, and what you got back. I
6 think on 17, we have some commitment to
7 include additional discussion. This is the
8 question that you raised, Josie, and the Work
9 Group won't see that discussion until the TBD
10 is reissued, but you know, certainly it's the
11 right approach, from our standpoint. So it's
12 up to the Work Group on how you want to
13 disposition these issues.

14 MEMBER BEACH: Well, they're all in
15 abeyance right now, so I guess that would be
16 up to you Phil to formally close them.

17 CHAIRMAN SCHOFIELD: I think we'll
18 go ahead and formally close them, with the
19 caveat that 17, we come back and take a look
20 at it.

21 Otherwise I don't have a problem
22 closing those. Anybody else have an opinion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here? I'd appreciate it.

2 MR. NELSON: What are you wanting
3 to look at, I mean do you just want to look at
4 the procedures, or -- I wasn't quite sure why
5 you wanted to look at 17.

6 CHAIRMAN SCHOFIELD: Excuse me, did
7 I talk over somebody here?

8 MR. NELSON: No, I mean, I can
9 specifically read out the steps if that's
10 helpful right now. I mean --

11 MEMBER BEACH: This is Josie again.
12 Is that not going to change with the closure
13 of these findings or these items?

14 MR. NELSON: No, this document is
15 not going to change. It's issued.

16 CHAIRMAN SCHOFIELD: Okay, then I
17 would recommend we just go ahead and close it
18 at this point, unless somebody else has a
19 valid reason for wanting to keep it open.

20 If there's no more discussion, why
21 don't we move on to Portsmouth here? We have
22 items open on one, three, seven, eight and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nine and I think we'll have Chuck take the
2 lead on this one.

3 MR. NELSON: Okay, issue number 1
4 for Portsmouth. In issue number 1, SC&A felt
5 that the technetium 99 intake values for
6 coworker intakes were too low, and in our last
7 Working Group meeting that we had, we agreed
8 that we think there are some problems with
9 those values, and we also said we'd like to
10 look at the recycled uranium contaminants, the
11 transuranics as well as, you know, the fission
12 product technetium.

13 So what we did is we looked at the
14 existing values in the TBD and we compared
15 them against the maximum values in the
16 Portsmouth recycled mass balance report, and
17 we did a direct comparison as to what our --
18 our numbers -- how they compared.

19 And what we found out is that some
20 of the default concentrations in the current
21 TBD in some cases were in fact smaller than
22 what we found the maximum concentrations to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the K-25 recycled uranium mass balance
2 report.

3 So we felt the claimant-favorable
4 thing to do, since we are making a lot of
5 changes in this TBD and you know, it's -- we
6 would just adopt these higher values out of
7 the mass balance report, and put those in the
8 TBD.

9 We also found a document, it's
10 titled Control of Technetium 99 at Portsmouth,
11 that had even some higher numbers for
12 technetium and we adopted those values for
13 technetium.

14 They were -- just like SC&A, we
15 felt they were a couple of orders of magnitude
16 higher than what we had in the current TBD.

17 So in effect we ended up adopting
18 these higher values and we are going to
19 incorporate those into the internal TBD.
20 That's all I have on that unless you want me
21 to expand on any of that.

22 CHAIRMAN SCHOFIELD: No, does SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have any comments on that?

2 MR. FITZGERALD: No, I mean,
3 clearly the issue was it was a CIP/CUP period
4 where you had these evaluations, and you know
5 we were looking for some treatment of that,
6 and certainly this would provide very specific
7 of the question of the elevation, the elevated
8 dose. No, we're fine.

9 MR. NELSON: Anybody else on issue
10 1?

11 CHAIRMAN SCHOFIELD: Well, I guess
12 we'll go on to the next one unless, Josie, do
13 you have any comment?

14 MEMBER BEACH: No, I don't. I'm
15 fine with that.

16 CHAIRMAN SCHOFIELD: Okay.

17 MR. NELSON: Okay, issue 3 was very
18 similar to issue 1, except for SC&A stated we
19 were using some of the 93 to 99 air sample
20 data. They did some characterization data in
21 a bunch of the buildings and they came up with
22 some activity concentrations for the recycled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uranium contaminants.

2 And in the TBD, like I stated
3 earlier, we had some values in there and we
4 actually found higher values. So these two
5 findings are related. They are basically the
6 same results. We went with the uranium --
7 recycled uranium mass balance report, the
8 highest values in that, and we adopted those
9 higher numbers. So 3 and 1 are essentially
10 one and the same, the results are anyways,
11 what we did.

12 MR. FITZGERALD: And again, Phil,
13 we are fine with that. It reflects the issues
14 we were raising.

15 CHAIRMAN SCHOFIELD: Okay. Then
16 let's go on to the next one. We are moving
17 right along here.

18 MR. NELSON: Okay, number 7 is the
19 next one I have open. It's marked as in
20 abeyance. And in our last Work Group meeting
21 there was -- the open issue was what is the
22 LOD for the shallow dose component of the film

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 badge, and we had said 30 before, then I know
2 our ORAU team, we had thought that they had a
3 four-element dosimeter that they were using
4 starting in I believe it was 1960, so we knew
5 there were some concerns about that and we
6 needed to go back and take a look at that to
7 see if we needed to raise the LOD.

8 And upon further review, we found
9 out that Portsmouth continued to use the two-
10 element film badge all the way until 1980 and
11 in 1980, then they went to the multi-element
12 TLD.

13 We dug in our references, which was
14 a gap film badge procedure, written in 1963,
15 and it made reference to a limit of detection
16 of 30 millirem.

17 We also went and looked at Oak
18 Ridge National Labs, what they had in their
19 procedures for the same type of two-element
20 film badge, and our conclusion from that is
21 that an LOD of 30 millirem as well.

22 So what we have in our references

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shows an LOD of 30. We don't have anything to
2 support anything greater than 30. We do know
3 that there were some facilities like -- an
4 example I was given the other day when I
5 talked to one of our NIOSH experts on external
6 dosimetry, he said there were times at which
7 Nevada Test Site used a lead filter in their
8 badges, and it was just to shield out some
9 intermediate neutrons and it would lead to
10 possibly higher LODs of 40 millirem.

11 But he was quite certain that the
12 limit of detection for Portsmouth was 30 or
13 less. So that is our position on the limit of
14 detection issue.

15 MR. FITZGERALD: And we thought the
16 comparison with the ORNL dosimeter, the same
17 dosimeter with the same value, was helpful so
18 that reconfirmed that 30 would work, and 30
19 has been used.

20 MR. NELSON: Okay. Anybody else?

21 MEMBER BEACH: This is Josie, so
22 essentially this won't change. You just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconfirmed your position. Did I get that
2 right?

3 MR. NELSON: Yes, you're right. We
4 kind of thought we were going to have to raise
5 it, then I know when Matt Smith dug in a
6 little further, he verified that they saved
7 the two-element film badge and all this
8 research turned up nothing greater than 30
9 millirem.

10 CHAIRMAN SCHOFIELD: Okay, then I
11 think we can move on to the next item.

12 MR. NELSON: Okay, what I have on
13 the next two items, 8 and 9, they are both --
14 the open item was technetium 99, and it's the
15 same issue as what we had for Portsmouth, I
16 mean, make that Paducah, where we felt like we
17 needed to evaluate tech 99.

18 So we submitted that NIOSH report,
19 0059, titled External Exposure to Technetium
20 99 at the Gaseous Diffusion Plants. That was
21 written in February 2012 and I think Matt
22 Smith will verify that it's up on the website.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SMITH: Yes, that's correct.

2 CHAIRMAN SCHOFIELD: Just one
3 question. This is Phil Schofield here. What
4 kind of exposures are we talking about to the
5 extremity of the stuff? Would these be -- are
6 we looking at very high exposures, or moderate
7 or low exposures?

8 MR. NELSON: We're not talking very
9 high exposures, Phil. Let me pull up my
10 references here and I can kind of give you an
11 idea. Going from -- I'm not good from memory,
12 so here, I think I have found my cheat sheet.

13 If an individual -- what we are
14 looking at is, the time when you can assign
15 dose to an individual is based on where they
16 worked, the potential to come into contact
17 with technetium, so it's work location and job
18 function.

19 But another criteria is you have to
20 have an extremity cancer, a hand cancer. In
21 other words, the technetium beta does not
22 travel very far at all. It travels a maximum

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of 24 inches due to its low energy.

2 So the one criteria is you have to
3 have cancer on your hand, and if you do, then
4 we are going to assign 8 millirem in one year.

5 So it's not very high.

6 The other one is if you have a
7 documented contamination incident to your bare
8 skin, it will be 20 millirem per event.

9 CHAIRMAN SCHOFIELD: Yes, I just
10 don't think 8 millirem is going to make much
11 difference anyway, you know, PoC, unless I am
12 wrong, and please correct me.

13 MR. NELSON: You're correct, but we
14 do have a mechanism here and if you have
15 somebody that had a really strange thing that
16 happened, if you go into this report that we
17 have written, it provides some direct
18 correlation to contamination levels and you
19 can correlate dose rates from that.

20 So if you had a really funky thing
21 that this guy tore into a technetium trap and
22 he got contaminated all over his face and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had, you know the levels and the resident time
2 that it sat on his skin, we could document the
3 amount of exposure to his skin, and we have
4 lots of tools for that and those are actually
5 in the procedure, what tools to use. When I
6 say procedure, I am talking about the external
7 dose TBDs.

8 CHAIRMAN SCHOFIELD: Okay, Joe or
9 Josie, do either one of you have any comments
10 on that?

11 MR. FITZGERALD: No, I think we
12 talked about this relative to Paducah, and it
13 of course addresses all three plants. It does
14 address the skin contamination issue we
15 raised, which was you know, more information,
16 more guidance, and in this case, something
17 specific on technetium 99. So we are
18 satisfied.

19 MEMBER BEACH: And I don't have
20 anything either.

21 CHAIRMAN SCHOFIELD: Okay, so
22 unless DCAS has anything, I'd say we'll close

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out that issue too, and move on to K-25,
2 consider that issue closed. Yes?

3 MEMBER BEACH: Well, if we close
4 it, how soon will the new TBD be issued? Do
5 you have a --

6 MR. NELSON: Right now, we -- and
7 I'm going to get to this in a little while --
8 the open issue that we have for Portsmouth and
9 K-25 is going to be neutrons for areas where
10 you have hold-up of enriched uranium, and I'm
11 going to talk about that when we get to K-25.

12 So the external TBD is being held
13 up right now because of that, and so there's a
14 lot of changes, but I'll tell you what we have
15 been doing, is we have been drafting these
16 procedures and getting them in pretty good
17 shape and actually doing some internal review,
18 you know, not -- they're not ready to go but
19 they're getting there.

20 So we have been working this whole
21 time, believe it or not, and making progress.

22 MR. FITZGERALD: Yes, I also might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 add, Chuck circulated -- this goes back a ways
2 -- but results of the meeting that ATL had
3 with Portsmouth, United Steelworker members,
4 and they had some pretty significant feedback,
5 I think, on a number of issues, including
6 contamination past a point in time that was
7 reflected in a TBD, and I know that is all
8 going to be addressed in this Site Profile
9 review or revision, but I thought, you know,
10 some of those were fairly important pieces of
11 information or feedback that would certainly
12 be addressed.

13 MR. NELSON: Yes, and you know,
14 many of them parallel the issues that are in
15 here, so those changes we were already making.

16 Yes, in fact, based on that discussion, we
17 talked with Herman Potter some, and we have
18 actually been extracting a lot of documents
19 out of Portsmouth. We've been working on
20 neutrons for quite a while.

21 And what we are finding is that --
22 are finding some what they call rascal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 readings where they did some dose rates, or
2 some neutron exposure monitoring, I guess I
3 should say, around these cascades, where there
4 was some holdup material. But we are not
5 finding paired gamma data with it so it's hard
6 to come up with a neutron to photon ratio.

7 So we are finding data, but -- and
8 it's a lot to pick through, but it's not
9 resulting in a whole lot of good information,
10 I should say.

11 It's helpful for our neutron to
12 photon ratio, although we have a basis in this
13 report and we are working through that right
14 now, and we still have -- we just got another
15 batch in that we are going to be collecting
16 from Portsmouth as well.

17 So we are still actively working
18 the neutron issue. But I can talk -- well,
19 I've talked about it quite a bit, but I'll
20 touch on that during the K-25 review because
21 we actually capture it during that one.

22 MR. FITZGERALD: So you did find

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some usable paired data for K-25?

2 MR. NELSON: No, to be honest with
3 you, not really.

4 MR. FITZGERALD: Oh, okay, this
5 might be a generic thing.

6 MR. NELSON: We have some
7 references in the past -- you can correct me
8 if I'm wrong Matt, I know Matt has been
9 working on this quite a bit -- but it seems
10 that's what we are kind of lacking, is a large
11 volume. We have some and we have some
12 theoretical numbers, and so that's what we are
13 working through.

14 MR. SMITH: Yes, it is jumping
15 ahead a little bit, but since this report
16 wound up being used for both TBDs, the data
17 captures that we have done since the meeting
18 with Mr. Potter, have been useful.

19 We describe procedures that, again,
20 we think of those as holdup measurements.
21 Nondestructive assay, these are folks that
22 have done that kind of work or been involved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in that type of work.

2 So in other words, it's operational
3 measurements that were done, not necessarily
4 measurements done by the health and safety
5 team.

6 He describes some measurements they
7 would take and we certainly have captured the
8 documents that describe the procedure for how
9 to do it, and even show the blank forms that
10 are part of this survey work to be completed.

11 So what we have done is gone back
12 for another data capture to get our hands on
13 those forms that we think will give us paired
14 neutron and gamma count rate data, and that's
15 where we are at right now. We just, as Chuck
16 mentioned, got a listing and an index turned
17 in that we are going to right now to then go
18 have them pull some more documents and
19 hopefully within that capture, after we have
20 defined these operational measurements that
21 were taken, and once we have those count
22 rates, we can then work on that data and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 convert it to dose value that we can take a
2 look at.

3 And again, this would be for worst
4 case situations where products have actually
5 accumulated in the cascade, you know, to a
6 high degree.

7 COURT REPORTER: This is the court
8 reporter. Who was just speaking?

9 MR. SMITH: For the court reporter,
10 this was Matt Smith, ORAU team.

11 CHAIR SCHOFIELD: This is Phil
12 Schofield. I've got a question. When we are
13 talking about these neutron levels, are we
14 talking a few millirem per hour, 100, 200, 300
15 millirem per hour? What kind of levels are we
16 talking about here?

17 MR. SMITH: I would estimate it
18 down in the millirem per hour range, as in
19 likely -- nowhere near the 100 millirem per
20 hour. The values that we have seen so far for
21 highly enriched product, again, maybe top end
22 of five millirem per hour, for the small 5A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cylinders.

2 CHAIR SCHOFIELD: So we are not
3 looking at real significant doses for the
4 majority of the people, as far as neutrons go?

5 MR. SMITH: Correct, and you know,
6 that was kind of a historical, how do you want
7 to say it, opinion of the health and safety
8 team through the years. NIOSH actually did a
9 visit in the mid-'90s and took a look at
10 neutron exposures.

11 You know, at that time, and I am
12 just pulling a number off the top of my head
13 so if I quote it wrong, I apologize. But you
14 know, maybe basically a total committed
15 effective dose, they were estimating maybe 12
16 percent of it would have been from neutrons.

17 And that would be for workers that
18 were in the process areas.

19 MR. NELSON: But what we intend on
20 doing, Phil, is that -- you know, if an
21 individual has a higher gamma dose and they
22 are in an particular area where they should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assigned neutrons, we will assign them a
2 ratio. So the number is really going to be
3 variable to what their deep gamma dose is.

4 DR. NETON: This is Jim again. Up
5 until now, we have not assigned any neutron
6 dose to people in the process areas, I don't
7 think.

8 MR. NELSON: And for the most part
9 it's just been in the depleted storage area.

10 DR. NETON: Storage area, so this
11 would be, even though it's small, it's
12 something that we had not included in the dose
13 reconstruction prior to this latest data
14 capture and review effort and discussion with
15 Herman Potter.

16 MR. NELSON: But really we were
17 going there before we talked to Herman Potter,
18 because if you -- when we get the K-25, you'll
19 see that we said -- I made the statement that
20 I'm seeing some inconsistencies, and I think
21 we need to dig further.

22 And this is a result of that, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Herman Potter was really a side meeting, that
2 he wanted to meet with us and he had this
3 issue about slow cookers and it really just
4 kind of dovetailed into what we were already
5 doing. But it gave us a good avenue to tap
6 into some references.

7 MS. ALGUTIFAN: This is Elizabeth
8 Algutifan. I just wanted to add that we have
9 selectively assigned neutron doses in the past
10 based on a smaller neutron to photon ratio
11 that's more in tune with Paducah numbers,
12 value that they were already using.

13 So that's all being reevaluated as
14 part of this report that Matt is working on.

15 MR. NELSON: And like I say, I
16 guess when we get to that item, K-25, we are
17 going to be pretty much done with it. But
18 that's good, because this is pertinent to
19 Portsmouth.

20 MR. FITZGERALD: Well, Phil this is
21 -- these are issues 7, 9 and 11 that the Work
22 Group consolidate as neutron, and the strategy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was to use the paired gamma neutron values and
2 this all goes into the results of, I guess the
3 Potter interviews and so that's still in
4 process, and I guess, would there be some kind
5 of either White Paper or guidance document,
6 OTIB or something, on the subject?

7 MR. NELSON: Yes, there's going to
8 be a report come out and it's going to -- when
9 we get done, it's going to provide some
10 neutron to photon ratios to be applied,
11 likely, at Portsmouth and K-25.

12 I think we've got it. And that's
13 still being determined. I think we have a
14 pretty good basis right now for Paducah, but
15 there may be some changes, but at this point,
16 I won't commit to any at Paducah.

17 As you all know, they didn't handle
18 the higher-enriched uranium at Paducah like
19 they did at Portsmouth and K-25. Are you
20 ready to move on to K-25?

21 CHAIRMAN SCHOFIELD: Everybody's
22 ready.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. FITZGERALD: We're on K-25 and
2 I was going to suggest we might as well finish
3 up the neutron issue, the 7, 9 and 11.

4 Did Potter -- I know there were
5 some questions about the locations of
6 exposures. I think the cylinder yard came up
7 as a source.

8 Was that -- was that defined a
9 little better based on his feedback?

10 MR. NELSON: I'm not sure. Can you
11 expand on the issue? What are you referring
12 to?

13 MR. FITZGERALD: I think it was
14 some question about where the -- if you want
15 to call it bounding exposure, where these
16 neutron -- the sources of neutron exposure be
17 most significant.

18 MR. NELSON: Okay, well that's
19 going to be around the highly enriched uranium
20 and that's being worked on.

21 MR. FITZGERALD: Okay.

22 MR. NELSON: So yes, we certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are not ready to close out that issue, 7, 9
2 and 11, but that's essentially where we are.
3 It's a work in progress, but I think you've
4 got some good background of what we have done
5 so far and where we are going.

6 CHAIRMAN SCHOFIELD: So this is an
7 issue I think we are going to have to leave
8 open for all three facilities until it's
9 fleshed out to your guys' satisfaction.

10 MR. NELSON: Yes, I don't know that
11 for Paducah, in my opinion, right now it's not
12 for Paducah. But for Portsmouth and K-25, we
13 are at the higher-enriched uranium, that's
14 fair. I'm not saying that this report is going
15 to define it all. It's going to -- you know
16 we already issued -- and we have issue the
17 procedures for Paducah.

18 MR. FITZGERALD: Yes, I guess I
19 didn't quite understand and I -- excuse me, I
20 just didn't recall the name of the individual
21 talking about, maybe it was the K-25 lead for
22 ORAU team.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But she was saying something about
2 using the Paducah values for K-25 in terms of
3 --

4 MR. NELSON: I think what she was
5 saying is that in the past, we have used a
6 neutron to photon ratio of what we used for
7 Paducah at K-25 because they did a painting
8 project at Paducah and it was quite detailed,
9 the assessment they did on the neutrons and
10 the photons, and they -- we were able to come
11 up with a neutron to photon ratio that was
12 pretty defendable.

13 And so in the past, we have used
14 those numbers, I think is what she was saying,
15 in some of the other cylinder yards.

16 MR. FITZGERALD: Okay, that's sort
17 of a unique project, what you're saying.
18 There's really no high-end enrichment
19 situation at Paducah as there were at the
20 other two sites and therefore, you know, it
21 wouldn't be as much of a neutron exposure
22 field issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But you are saying this was a
2 specific painting?

3 MR. NELSON: Yes, it involved many,
4 many cylinders and there was some data
5 gathered from them. So that was really one of
6 the better references we have had to come up
7 with neutron to photon ratios.

8 And we are using that also, that
9 information, to feed into this report.

10 MR. FITZGERALD: I guess I'm just
11 trying to square what you were saying with no
12 real, significant neutron dose issues at
13 Paducah because of the lack of high-enriched,
14 there certainly were cylinders.

15 MR. NELSON: No, we still have that
16 value. There are neutron issues at Paducah and
17 we do assign neutrons at Paducah.

18 So the issue of neutrons is at
19 Paducah. Now the question that I heard was
20 somebody wanted to open an item in Paducah. I
21 don't know if that's necessary or not. That's
22 up to the Work Group, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That's the only thing I was getting
2 at.

3 MEMBER BEACH: This is Josie. Does
4 that go back to Phil's comment that all three
5 are still open for neutrons? Is that where
6 that question just came from?

7 MR. NELSON: The neutron issue for
8 Paducah has been closed.

9 MEMBER BEACH: Right.

10 DR. NETON: So Chuck, what you are
11 saying -- this is Jim -- is that you feel we
12 have a bounding approach to reconstruction of
13 neutron dosimetry?

14 MR. NELSON: Based on right now,
15 now we may do further research and uncover
16 something else, in which case we would
17 certainly incorporate Paducah.

18 CHAIRMAN SCHOFIELD: So we can go
19 ahead at this point, if I understand right,
20 safely close it on Paducah but leave this
21 question open on Portsmouth and K-25?

22 MR. NELSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: You have a
2 problem with that, Josie?

3 MEMBER BEACH: No, no. Not at all.

4 CHAIRMAN SCHOFIELD: Okay. Then --

5 MR. NELSON: I guess what we could
6 do though is create an issue in Paducah, and
7 you guys can word it how you want, and we will
8 when we're done --

9 DR. NETON: We're not going to --

10 MR. NELSON: Oh, you're not. Okay.
11 I thought you said you wanted to have an
12 issue. Jim was waving me off that I was
13 misunderstanding you. Sorry. Sorry, Josie.

14 CHAIRMAN SCHOFIELD: No, we're just
15 -- the global question really is, more than
16 anything else, how it's going to be handled
17 with the two facilities and based on my
18 understanding, is that you'll probably have to
19 come up with a procedure that quantifies both
20 facilities, unless I'm --

21 MR. FITZGERALD: And I think he
22 also indicated that if perchance, it does --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some issues do arise that have implications
2 for Paducah, he'll come back to the Work
3 Group. So I think, yes, I think that handles
4 it.

5 MR. NELSON: Yes, definitely we
6 are not going to ignore Paducah -- because we
7 -- that is what we were looking for. I mean,
8 I think we are all -- have the same goals
9 here, we want to get consistency between these
10 GDPs and we want them to be bounding, and
11 that's been our focus, our honest focus.

12 MR. FITZGERALD: Phil that is 7, 9
13 and 11, we are sort of starting at the end.
14 But I would propose that maybe we could go
15 start the -- go back to item 3 or issue 3, and
16 perhaps Chuck can walk us through, starting
17 with 3.

18 CHAIRMAN SCHOFIELD: Okay. Unless
19 somebody has objections, that's what we'll do,
20 is we'll go back to number 3 on K-25, which is
21 in abeyance, and let's talk about the isotopic
22 distribution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: Okay, just like the
2 issue we had in Portsmouth, there were
3 questions about transuranics and fission
4 products that reflect old uranium
5 constituents.

6 And in the last Work Group meeting,
7 just like for Portsmouth, we agreed we need to
8 look at this closer. And similar to
9 Portsmouth, when we dug into the K-25 mass
10 balance report, we found that there were some
11 higher concentrations in that mass balance
12 report and therefore like Ports, we are
13 adjusting those values in the TBD and then
14 applying those max values to K-25 as well, so
15 very similar to item 1 and 3 in Portsmouth.

16 CHAIRMAN SCHOFIELD: You got an
17 input there, Joe?

18 MR. FITZGERALD: No, no, it's the
19 same issue as we closed at the other site. So
20 yes, we are on board on that one.

21 CHAIRMAN SCHOFIELD: The only
22 question I have got, and this one, somebody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with a lot more knowledge than me, give an
2 answer for me. We covered the, you know,
3 different isotopic forms of plutonium. But
4 given the in-growths, I would have thought
5 americium would be in there somewhere.

6 MR. NELSON: Americium is.

7 CHAIRMAN SCHOFIELD: Oh, okay. I
8 guess I missed that somewhere. So --

9 MR. NELSON: Yes, I was saying, I
10 was saying transuranics. That implies
11 neptunium, plutonium, americium.

12 CHAIRMAN SCHOFIELD: Okay. That
13 was my only question. Then why don't we move
14 on to question 4 unless somebody else has -- I
15 mean item 4.

16 MR. NELSON: Okay. Item 4, SC&A
17 had some issues with some of our tables being
18 incomplete. They were in fact busy, we agree
19 with that, and confusing.

20 So what we did is we went in and we
21 modified some of the tables. Remember the
22 table 5-4 in the current procedure, and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 went on for pages and pages, and it was
2 facility by facility, part per billion, part
3 per million concentrations of the different
4 recycled uranium components, neptunium,
5 technetium, plutonium, and that simply wasn't
6 being used by the DRs.

7 So the comments that SC&A made on
8 that because they felt some of the buildings
9 were missing, we ended up pulling that table
10 out because we actually use a different table
11 in the TBD to assign dose.

12 And that TBD -- and that table that
13 we do use is related to issue number 3 because
14 we have upped those values in that table. So
15 table 5-4 has been deleted.

16 The other table that SC&A made a
17 comment on was table 5-2, and that was a list
18 of principal radionuclides found at uranium
19 facilities and gaseous diffusion plants.

20 So it was kind of a broad title.
21 We re-titled it, "Principal radionuclides at
22 K-25," because what somebody did is they took

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at table from somewhere else, like maybe a --
2 I'm not sure where it was -- and they stuck it
3 in the TBD and they included things like
4 curium-242 and -244, which we talked about in
5 the last Work Group. We couldn't find anything
6 to substantiate its existence at K-25 at any
7 level that would warrant any concern or
8 listing in any table.

9 So we reworked that table, and
10 deleted those out, curium-244 and -242.

11 And also, what we did is we added a
12 table and it lists the buildings and support
13 facilities that involve uranium operations,
14 and it's been put in the internal TBD, that
15 draft one that I told you guys we were working
16 on. We have it drafted out, and it also has a
17 more comprehensive list of buildings and
18 support facilities being added to the site
19 description TBD.

20 And realizing that K-25 had over
21 400 buildings, we obviously couldn't list them
22 all, so we listed what we though were the most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 important. But it was more comprehensive than
2 what we have had in the past.

3 MEMBER BEACH: This is Josie.
4 Sounds like you have done a lot of the work on
5 the draft TBD. Can we get a look at that by
6 any chance?

7 MR. NELSON: Yes, you can. I guess
8 my question -- I'd have to ask Jim -- is if it
9 had to be through our review cycle prior to
10 you all looking at it, and that really depends
11 on where we are with some of that. I know
12 like the external TBD, that particular one we
13 are still working on with the neutrons. But
14 do you see any problems with that, Jim?

15 DR. NETON: No, but we normally
16 don't release pretty, you know, unapproved
17 documents like that. I mean, I guess we could
18 do pieces and parts of it to show, you know,
19 what we have done. But I'm kind of reluctant
20 to release a draft document.

21 MR. NELSON: What I could do,
22 Josie, if you want, is I can read some of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stuff or however you would want to do it.

2 MEMBER BEACH: No, that's okay. I
3 just -- I know there's the big picture and I
4 understand if you would rather wait until it's
5 done. I just wasn't sure if we could review
6 some of it before the neutron, because it
7 sounds like that may take a little while
8 longer.

9 MR. NELSON: Okay.

10 MEMBER BEACH: But that's fine.

11 MR. NELSON: You know, I guess
12 there's a possibility we could approve some of
13 those other documents prior to the external
14 TBD being done. I don't know if that's
15 feasible or if they want to do them all at
16 once.

17 MEMBER BEACH: Well, we'll just
18 leave that to your best judgment. It would be
19 nice to take a look at it, but --

20 MR. NELSON: Okay.

21 MEMBER BEACH: Understand if we
22 can't, so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: Anybody else
2 have any comments on that? Then let's move on
3 to item 5.

4 MR. NELSON: Okay, item 5, there
5 were some issues -- let me see -- the crux of
6 the conversation was a lack of information
7 regarding incidents. And we had actually a
8 pretty good discussion in our Work Group
9 meeting last time. So what we did is, we made
10 an attempt to get a more complete set of
11 incidences. I don't know if I said that
12 right. Incidents.

13 So we are adding basically a
14 description of significant incidents with
15 internal dose potential, and we are going to
16 locate that in the K-25 site description, and
17 also in an internal dose TBD.

18 And I think one of our best
19 references was Chem. Res. 1999, which was
20 titled: "Uranium Releases from Oak Ridge
21 Restorations."

22 And so we used that document as one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the primary sources of information. So we
2 made an attempt to increase the discussion of
3 incidents in the internal TBD as well as the
4 site description.

5 CHAIRMAN SCHOFIELD: Did K-45 keep
6 a, you know, something like a 5000-3-A, 5000-
7 3-B or something log of incidents like skin
8 contaminations, internal contaminations? Was
9 this a centralized thing or was this kind of a
10 hit and miss over the years?

11 MR. NELSON: I do not have a good
12 feel for that, Phil. Michalene are you
13 familiar with that?

14 MS. RODRIGUEZ: Yes, I did do some
15 research on what kind of logs that they kept
16 at K-25, and I did not really find anything of
17 significance.

18 What I did find, though, is that
19 reference that you were referring to, the
20 Buddenbaum 1999, they seem to have found a lot
21 of air release documents and how they were
22 related to a building, the amount released.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so they seem to have captured a
2 great deal of information from the start all
3 the way to the late 1980s. That's what I used
4 when I was looking at the incidents section
5 there.

6 CHAIRMAN SCHOFIELD: So, basically
7 these would be incidents that would be
8 reported to ERDA, DOE, AEC, somewhere like
9 that, rather than individual incidents of just
10 one or two people receiving the small internal
11 dose or skin contamination? Is that --

12 MR. NELSON: Phil, you would hope
13 to find those in the individual monitoring
14 records that we would have in NOCTS. That
15 should be in their own personal dosimetry
16 file.

17 CHAIRMAN SCHOFIELD: Okay.

18 MR. NELSON: But whether there was
19 a site-wide record of that, I'm not familiar
20 with that.

21 CHAIRMAN SCHOFIELD: Yes. Okay.
22 Josie, you got any comments there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: No, I don't.

2 CHAIRMAN SCHOFIELD: Joe, you have
3 any comments?

4 MR. FITZGERALD: No, I think it's
5 similar to the last one where the draft
6 revision will be augmented by addition of
7 these incidents, and that's kind of where we
8 were coming from. That last version seemed to
9 lack treatment of that. So I guess, you know,
10 when that revision is available, you can
11 certainly see the additional --

12 CHAIRMAN SCHOFIELD: Okay, then I
13 would suggest, with the concurrence of the
14 Work Group, that we leave that in abeyance
15 until the TBD has been revised.

16 MEMBER BEACH: I agree with that,
17 Phil.

18 CHAIRMAN SCHOFIELD: Okay, then
19 let's move on to item number 6.

20 MR. NELSON: Okay, item number 6 we
21 discussed in the last Working Group as well,
22 pretty well, but I think what happened, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agreed there was a few issues that we may have
2 not identified very clearly in the matrix and
3 we didn't really seem to fully answer them. I
4 know we tried to extract them out of the large
5 document and I don't -- I think our conclusion
6 was, we didn't do a very good job in the Work
7 Group of identifying and answering the issues.

8 So fortunately, we went back and looked at
9 this closer, and Joe also provided us with
10 parts A, B, C and D, which are more of a focus
11 of what the issues are.

12 MR. FITZGERALD: Yes, this was
13 originally a rather broad coworker finding in
14 the Site Profile review, but it just had a
15 number of sub-issues that were embedded. It
16 was a little bit convoluted, so I think what
17 we tried to do is simplify it, combine some
18 issues where they should be combined, and just
19 make it a little more clear.

20 That's kind of where we're at. So
21 we did discuss this, but I think this will
22 maybe enable the Work Group to follow this a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little better.

2 MR. NELSON: Yes, it definitely
3 will, and it really helped us too, I think.

4 Anyways, what I did, is I put parts
5 A and C together, because they are really
6 related. The question here is assignment of
7 coworker intakes for 1945 through '47.

8 We have lots of data, bioassay
9 samples from 1948 to 1988. We developed this
10 coworker model. And that coworker model, in
11 our current procedure, we wanted -- because
12 everything was very consistent and constant,
13 we felt in that revision that we could apply
14 those back to '45 and '47, and upon further
15 review, we felt like it would be prudent to
16 revise the internal coworker document, and
17 from 1945 to '47, expanded our coworker
18 guidance and we are allowing the assignment of
19 the 95th percentile uranium intake as a
20 constant distribution.

21 And those would be for individuals
22 that had no monitoring data, maybe didn't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what kind of work environment they worked in,
2 and they could have been routinely exposed to
3 airborne radioactivity.

4 So we put some qualifiers on it,
5 but like, if you didn't have data back in
6 those days and there were some unknowns, like
7 part C talks about solubility issues, you
8 know, how can you necessarily bound those?

9 So what we felt is that we
10 tightened up that part of the coworker OTIB,
11 which incidentally will be in the external
12 TBD. We have merged those two documents, so
13 you don't have to go to both documents. It
14 will be an appendix or an attachment to the
15 external TBD, the coworker model will be.

16 And now, we will allow the
17 assignment of the 95th percentile. That's
18 parts A and C.

19 MR. FITZGERALD: And Phil, while we
20 are on this subject, I think that is
21 particularly responsive to our concern that
22 perhaps, you know, with the lack of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information in some cases, the other
2 distributions would not be sufficient.

3 And this is similar to the other
4 circumstance I think Jim Neton talked to, that
5 this gives the dose reconstructor another
6 option when faced with a situation where the
7 data may be lacking.

8 MR. NELSON: Yes, we really
9 struggled with it because the intake rates
10 were so constant and consistent that we really
11 felt like it was probably okay, but then we
12 thought, well, there's going to be the
13 possibility of those instances, those earlier
14 years when they were just starting production,
15 and you know, things are always worse when you
16 start.

17 So that was kind of what gave us an
18 uneasiness and we felt like, well, we should
19 do that. It would be prudent to do that.

20 So should we go by each sub-part or
21 -- I think it would be better to group them
22 that way. That way, if anybody has got issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with another part, we can -- so I grouped A
2 and C together because they are essentially
3 the same thing: can you apply coworker intakes
4 from '48 to '88 to the early years, '45 to
5 '47? And we are now saying we are going to
6 use those but we are going to give them the
7 95th percentile, so they'll get a higher
8 intake rate for those individuals that have
9 that potential to be exposed.

10 CHAIRMAN SCHOFIELD: How old is the
11 characterization of the material in the '45 to
12 '47 time frame?

13 MR. NELSON: Well, I'm not sure if
14 I understand your question, but one of the
15 things we thought about looking at was, you
16 know, what was the production rate of material
17 and how much work was going on, and for the
18 most part we felt like there was less work
19 going on during that period of time.

20 So that was another thing that kind
21 of supported using the later coworker data.
22 But there's some other uncertainties as to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 why, and it's obviously going to be a pretty
2 small population of why we felt, you know,
3 let's go ahead and allow -- assign them the
4 95th, and you are always going to have an
5 individual that you are going to really think
6 about and say, okay, this guy worked directly
7 with material during this time, he left before
8 1948, we don't have any other bioassay data on
9 him, and we've got some uncertainties about
10 this guy. And this is the kind of guy you want
11 to give the 95th percentile to.

12 A vendor went in there and he
13 worked for two days. It would be not real
14 reasonable to assign him the 95th for a year
15 or something like that.

16 MS. RODRIGUEZ: This is Michalene.
17 I would also like to mention, in that time
18 frame there were only two Class K buildings on
19 line. It was K-25, and I believe K-27 was
20 online, starting in '46. Plus there were also
21 a lot of buildings that were, you know, up and
22 coming, being constructed, and yes, I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably agree that the exposure potential
2 during that time frame is probably less than
3 what you would find in their earlier
4 production years, starting in the late '40s,
5 early '50s.

6 CHAIRMAN SCHOFIELD: Joe or
7 anybody, you got any input on that? It seems
8 like a reasonable approach at this point.

9 MR. FITZGERALD: No, like he said,
10 I think we were concerned about the back-
11 extrapolation of the later periods for that
12 very earliest period, without any
13 qualification. I think this is the reasonable
14 way to address what may be some exceptions to
15 the distribution.

16 CHAIRMAN SCHOFIELD: Josie?

17 MEMBER BEACH: No, I don't have
18 anything. I'm good. Thank you.

19 CHAIRMAN SCHOFIELD: Okay. I'm
20 good on that too at this point, so we'll wait
21 for those revisions.

22 MR. NELSON: Okay, part B, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue, the question essentially was: can we
2 assign -- can we use the chronic intake and
3 assign that as a coworker dose for an
4 unmonitored worker, when there were likely
5 some acute intakes?

6 And like I mentioned earlier, the
7 urine concentrations at K-25 are relatively
8 constant. If you look at the internal
9 coworker TIB, it evaluates that and it runs it
10 through several models and different
11 solubilities, and it's a pretty constant
12 chronic intake. It actually is a very good
13 model when you don't know a whole lot about an
14 individual, and he might have had, you know, a
15 few acute intakes here and there, it actually
16 will over-predict.

17 So most of our DCAS coworker models
18 were developed and applied under this
19 assumption of constant chronic intake. So our
20 opinion is it's adequate and it's kind of how
21 our program is written. So the kind of thing,
22 it's a global model -- a global issue, so if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's an issue with the use of a chronic
2 constant intake, then we kind of feel like
3 it's outside of this Gaseous Diffusion Working
4 Group.

5 DR. NETON: This is Jim. This is
6 something we have talked about in the past
7 quite a bit, the adequacy of the chronic model
8 in light of what may have been some acute
9 incidents.

10 And I think we have come to
11 agreement that the chronic model in general
12 will over-predict an intake rather than -- for
13 a person who had an occasional acute intake,
14 because you are assigning this chronic intake
15 over a very extended period of time.

16 I would argue that the person had a
17 series of many acute intakes and that probably
18 borders on the chronic exposure scenario
19 anyway.

20 So these are the kind of
21 discussions we have had in the past, and as
22 Chuck said, this is sort of part and parcel of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our program, these chronic explicative models
2 and I don't think there's anything special or
3 unique about K-25 that would invalidate that
4 approach.

5 MR. NELSON: Yes, Phil, we are
6 okay. I mean, this is I think a four- or
7 five-year-old finding. So to some extent --

8 DR. NETON: That's what I was
9 thinking.

10 MR. NELSON: -- we kind of have
11 caught up with this particular question in a
12 number of discussions and I don't think
13 there's any disagreement.

14 CHAIRMAN SCHOFIELD: I have no
15 problem moving on then, at this point, We will
16 come back and see that when the TBDs are
17 revised and what you guys come out with.

18 MR. NELSON: Okay, the last part of
19 item 6 is part D, and it was regarding the use
20 of the ICRP 23 daily urine excretions versus
21 ICRP 89. This is again another programmatic
22 issue. It's not generic to the gaseous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diffusion plants. It's what our program is,
2 and it's outside of this Working Group, I
3 believe.

4 CHAIRMAN SCHOFIELD: Okay, well I
5 guess that shuts that door. Anybody have any
6 input there?

7 MR. FITZGERALD: No, I think it was
8 just again for the reviewers doing the Site
9 Profile, there was an awareness that there was
10 another ICRP model, but you know, again, I
11 think, as a broader question I don't disagree
12 that that's not specific to this Site Profile.

13 CHAIRMAN SCHOFIELD: Okay, then I
14 would suggest we move on to issues 7, 9 and
15 11.

16 MR. FITZGERALD: Yes, we already
17 addressed those.

18 CHAIRMAN SCHOFIELD: Right, but I
19 just wanted to make sure we are still closed
20 on those. Well, not closed in a sense, but
21 there's nothing else for anybody to add, those
22 we started off with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. NELSON: I think we are going
2 to -- awaiting a NIOSH report focused on
3 Portsmouth and K-25.

4 DR. NETON: That's correct.

5 MEMBER BEACH: Yes, I wrote it down
6 as a work in progress on NIOSH's side. So we
7 still have 10 and 12.

8 MR. NELSON: Yes, 10 and 12 are the
9 same technetium-99 issue.

10 CHAIRMAN SCHOFIELD: Yes.

11 MR. NELSON: And we've talked about
12 that. So I think that's our final issue.

13 MR. FITZGERALD: And we felt the
14 OTIB addressed, or -- I guess it's report 59,
15 addressed the issue that we were looking at.
16 That's a generic item that closes out issues
17 related to GDPs.

18 CHAIRMAN SCHOFIELD: Well, I think
19 we've got them all closed then for today, with
20 the items that we still have to -- the TBD
21 revisions.

22 MR. NELSON: Right. I will talk to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our management and we'll get them as soon as
2 we can to you. Like I said, we are still
3 working on the neutron issue.

4 MR. KATZ: This is Ted. That
5 sounds good, Chuck. Can you, as well as -- I
6 know you'll do this as soon as you can, but at
7 whatever point you can sort of give a rough
8 estimate for when this will be done, will you
9 let us know? That will help us with
10 scheduling.

11 MR. NELSON: Okay, I sure will.
12 I'll update our Work Group coordination
13 document. How's that, Ted?

14 MR. KATZ: That sounds great. And
15 then a question for the Work Group, for Phil
16 and Josie. So you have essentially -- you have
17 closed out Paducah. You can't really report
18 out -- I mean, you can report out in your Work
19 Group report that you closed out the issues
20 there, but you can't really report out on that
21 closing for this upcoming Board meeting. You
22 don't really have time to prepare.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the question is: do you want to
2 aim for reporting out on this at the March
3 Board meeting, or would you prefer to report
4 out on all three -- I mean, there are some
5 similarities and then there are differences --
6 report out on all three together when you have
7 them all wrapped up?

8 CHAIRMAN SCHOFIELD: I think March
9 would be a good time frame. Hopefully by then
10 we will be able to wrap up all three. That
11 might be a little over-optimistic but that
12 would be depending on the Work Group
13 coordination that, you know, how much work
14 DCAS has and SC&A has on their plates.

15 MR. KATZ: Okay.

16 MEMBER BEACH: Ted --

17 MR. KATZ: Go ahead.

18 MEMBER BEACH: This is Josie. I
19 think it would be less confusing to report out
20 on all of them when they are completed,
21 whether that's March or the next meeting.

22 MR. KATZ: Okay. That's what I was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asking. So, depending on what we hear from
2 DCAS in terms of when they'll have the neutron
3 stuff sorted out, we'll plan accordingly.

4 MEMBER BEACH: Sounds reasonable.

5 CHAIRMAN SCHOFIELD: Okay. Anybody
6 else got any input?

7 MR. FITZGERALD: I know that this
8 is a work in progress as far as the TBD
9 revision. Is that a next year item or the
10 year after? I mean, is there a rough sense of
11 when that might happen?

12 MR. NELSON: Definitely next year. I
13 mean we are in, what, the beginning -- the
14 first week in December, it's definitely next
15 year. When in next year? I will say that we
16 have a lot of these drafted and they are
17 almost ready to roll. But there's some fine
18 details that still have to be worked out and
19 they have to go through the review process and
20 --

21 DR. NETON: I think that the HEU
22 neutron issue is a long-running issue right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 now, and I think until we look at the data
2 that we just got in and see if there's
3 anything useful in there, it's hard to tell
4 when that will be wrapped up.

5 But I'm hopeful that, you know --

6 MR. NELSON: In fact, we haven't
7 got that data yet. We are just checking --
8 well, we're interested in this next box.

9 DR. NETON: I would hope somewhere
10 in the first quarter or end of first quarter,
11 maybe going into second quarter at the latest.

12 But I can't -- it's hard to predict. We'll
13 get an estimate as soon as we can out there.

14 CHAIRMAN SCHOFIELD: I think that
15 puts March a little over-optimistic.

16 DR. NETON: Well, I was going to
17 say that. I think March may be a little over-
18 optimistic. But, you know, that would include
19 getting a report done, through the review
20 cycle, ADC issues, that would be a lot to
21 accomplish by the -- and then have the Work
22 Group meet and SC&A have time to review it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: Yes, we've done a lot
2 of upfront work on these other procedures, but
3 like the external TBDs, we haven't looked at
4 that on the DCAS side yet. So there's a whole
5 review process that starts at ORAU and goes
6 through us and ADC and all that.

7 CHAIRMAN SCHOFIELD: Okay, anybody
8 else have any input? Ted?

9 MR. KATZ: I think we're good,
10 then. I think you can adjourn.

11 CHAIRMAN SCHOFIELD: Okay, well,
12 thanks, everybody. Appreciate your input today
13 and we'll --

14 MEMBER BEACH: See you next week.

15 CHAIRMAN SCHOFIELD: Okay. Thanks a
16 lot.

17 (Whereupon, at 2:38 p.m., the above-entitled
18 matter was concluded.)

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10