

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTY-FOURTH MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

ABRWH BOARD MEETING

The verbatim transcript of the
Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on January 9,
2006.

C O N T E N T S

January 9, 2006

WELCOME AND OPENING COMMENTS DR. PAUL ZIEMER, CHAIRMAN	7
REPORT FROM WORKING GROUP ON BETHLEHEM STEEL SITE PROFILE MR. MARK GRIFFON, GROUP CHAIR	12
REPORT FROM WORKING GROUP ON BOARD REVIEW OF SEC PETITIONS DR. JAMES MELIUS, GROUP CHAIR	49
REPORT FROM WORKING GROUP ON Y-12 SITE PROFILE MR. MARK GRIFFON, GROUP CHAIR	85
UPDATE ON SCIENCE ISSUE: LYMPHOMA DOSE RECONSTRUCTION TARGET ORGAN SELECTION DR. JIM NETON	137
WRAP UP, DR. PAUL ZIEMER, CHAIR	151
COURT REPORTER'S CERTIFICATE	155

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF/VENDORS

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

IDENTIFIED PARTICIPANTS

BROEHM, JASON, CDC WASHINGTON OFFICE
CLAWSON, BRAD, INEL
ELLIOTT, LARRY, NIOSH/OCAS
HENSHAW, RUSS, NIOSH/OCAS
HOMOKI-TITUS, LIZ, HHS
LOCKEY, JAMES, UNIV. OF CINCINNATI
MAURO, JOHN, SC&A
POSTON, JOHN, TEXAS A&M
ULSH, BRANDT, NIOSH
WALKER, ED, BSAG

P R O C E E D I N G S

(10:00 a.m.)

WELCOME AND OPENING COMMENTS**DR. PAUL ZIEMER, CHAIR**

1 **DR. ZIEMER:** Let's take an official roll call again
2 here. I'm going to call the meeting to order.
3 This is Ziemer, and I'm in Cincinnati actually.
4 We're having an orientation session today for
5 three new Board members who will be joining us
6 after our January meeting, newly appointed by
7 the White House. Let me pause here for a
8 moment and make sure that Ray Green, Ray, are
9 you on board and recording?

10 **COURT REPORTER:** Yes, sir.

11 **DR. ZIEMER:** Thank you.

12 The new members that are here with us today in
13 Cincinnati are Brad Clawson who's from Idaho INEL,
14 John Poston who's from Texas A&M, and Jim Lockey
15 who's here locally at the University of Cincinnati
16 Medical School, I believe it is. So we welcome
17 them here. They're basically observing today in
18 part of their orientation. Also present here,
19 Larry Elliott and Jim Neton are here and Lew Wade
20 with us here in Cincinnati. So Lew, could you call

1 the roll, and we'll see what we have in terms of a
2 quorum.

3 **DR. WADE:** Roy DeHart.

4 **DR. DeHART:** Present.

5 **DR. WADE:** Robert Presley.

6 **MR. PRESLEY:** Here.

7 **DR. WADE:** Paul Ziemer.

8 **DR. ZIEMER:** Here.

9 **DR. WADE:** Mike Gibson.

10 **MR. GIBSON:** Here.

11 **DR. WADE:** Gen Roessler.

12 **DR. ROESSLER:** Here.

13 **DR. WADE:** Wanda Munn.

14 **MS. MUNN:** Here.

15 **DR. WADE:** Henry Anderson.

16 **DR. ANDERSON:** Here.

17 **DR. WADE:** Jim Melius.

18 **DR. MELIUS:** Here.

19 **DR. WADE:** Mark Griffon.

20 **MR. GRIFFON:** Here.

21 **DR. WADE:** Richard Espinosa.

1 (no reply)

2 **DR. WADE:** And Leon.

3 **DR. ZIEMER:** Leon Owens is not on at the moment.

4 **DR. WADE:** I make it that we have nine Board
5 members present. We have a quorum. We can conduct
6 business if we need to.

7 **DR. ZIEMER:** Also, there are a number of members of
8 the public that are on. We don't need to take a
9 roll, but I just make sure that everybody's aware
10 that this is an open meeting and members of the
11 public are, have been invited to observe by phone
12 as it were, and I believe there are a number of
13 those aboard also this morning. We do ask that, we
14 always have trouble with these telephone
15 conferences in terms of background noise and so on.
16 And in some cases if you're simply listening, you
17 may want to push the mute button on your phone to
18 cut out background noises that would come in from
19 your phone, particularly if there's other
20 conversations going on in your office or wherever
21 you're located. With that let me make sure that
22 everybody has a copy of the agenda. Is there
23 anyone that did not get a copy of the agenda? And
24 for members of the public I alert you to the fact

1 that the agenda is on the website that's available
2 to you there. Dr. Wade is going to make a couple
3 of remarks. I want to mention to you that this
4 particular meeting of the Board was primarily
5 intended to bring us up to speed on the actions of
6 our working groups that have been working since the
7 last meeting and in preparation for our full face-
8 to-face meeting later this month. So we largely
9 will be having discussions and hearing reports.
10 The actual actions will probably be minimal though
11 there are a couple of actions recommended by at
12 least Mark's working group. Lew, you have some
13 introductory remarks.

14 **DR. WADE:** Yeah, just a couple of things. First of
15 all, let me thank you on behalf of the Secretary
16 and the CDC Director and the NIOSH Director for
17 making this time available. I would like to talk
18 just a little bit about we are in transition on the
19 Board and as Dr. Ziemer mentioned, we have three
20 new members who are with us today formally involved
21 in orientation and a transition onto the Board.
22 The way we intend to work this is at this meeting
23 we do have a quorum present of the former Board
24 members, and we can conduct business. If there are
25 votes, the new members will not be voting at this

1 meeting. There's also our expectation they will
2 not be voting at the end of January meeting in Oak
3 Ridge. Any meetings after that the new members
4 will be voting and the old, the members rotating
5 off will be, will no longer be voting and not
6 present as Board members. They can certainly be
7 present as members of the public. Relative to
8 conflict of interest, let me talk a little bit, on
9 our agenda today as you would look at it, we will
10 be talking about two site profiles, Bethlehem Steel
11 and Y-12. I'll remind you of the Board's policy on
12 conflict of interest. If a Board member is
13 conflicted on either of those sites, since we are
14 talking about site profile work, the Board member
15 would be allowed to participate fully in the
16 discussion. They can stay at the table, but they
17 would not participate in any votes. They would
18 have to recuse themselves from voting if they are
19 conflicted. And again, at this meeting the only
20 two we'll be talking about are Bethlehem and Y-12.
21 I would like to -- some thanks are in order. Two
22 working groups have been working very hard, one
23 chaired by Dr. Melius and one chaired by Mark
24 Griffon. I thank all of the members of those
25 working groups for what has been quality work. I

1 thank Dr. Melius for his leadership and the writing
2 that he's done. And you'll be hearing his report
3 today. But I would be remiss if I didn't single
4 out Mark Griffon for special thanks on the part of
5 the government. Mark's efforts have been
6 considerable. The quality of his work has been
7 worthy of note. In my time dealing with boards
8 like this, I've never seen anyone make the
9 contribution that Mark has made, so I think it's
10 important that for the record we thank Mark.

11 **DR. ZIEMER:** Thank you, Lew, and certainly Mark and
12 Jim, on behalf of the Board we echo those same
13 thoughts. We really appreciate the input and
14 leadership that you both have provided in these
15 areas.

REPORT FROM WORKING GROUP ON
BETHLEHEM STEEL SITE PROFILE
MR. MARK GRIFFON, GROUP CHAIR

16 Let's begin then with the work on the Bethlehem
17 site profile, and I might also mention just so the
18 members are aware also of Ed Walker who's, I think
19 all the Board members know from the Bethlehem site,
20 is on the phone today as well, and we welcome Ed
21 with us this morning.

22 Mark, your group's been working with the
23 contractor and with NIOSH to address a number of

1 issues relative to the site profile, so why don't
2 you lead us through your report and your
3 recommendations, and we'll have an opportunity for
4 any discussion that any of the Board members wish
5 to have.

6 **MR. GRIFFON:** Yeah, I think we, I want to start
7 with making sure that people got the materials. I
8 mean, I sent a one-page document which is basically
9 a recommendation from the work group for a full
10 Board motion. So it's --

11 **DR. ZIEMER:** Yeah, let's make sure that everyone
12 got that. That was -- it went out by e-mail. The
13 e-mail was dated actually, Mark, I believe -- no --

14 **MR. GRIFFON:** The day before.

15 **DR. ZIEMER:** -- yesterday or the day before. It
16 went out over the weekend. And then a recommended
17 action item, and then also a summary matrix was
18 also sent out.

19 **MR. GRIFFON:** Right, and we've been, if you have
20 those materials, we've been, the work group and the
21 full Board have seen this matrix before. And we've
22 been working on an ongoing basis with SC&A and
23 NIOSH to come to resolution on these six findings.
24 And I think the way it now stands as of the last

1 phone call, November 28th, I believe that was. We
2 had a phone call, and you can see the Board actions
3 on the right-hand column of the matrix. I wasn't
4 planning on going through all of those, but
5 basically the sense from our work group was that
6 NIOSH's responses to SC&A's original six findings
7 have now been met in terms of the Bethlehem Steel
8 site profile. Based on information we've reviewed
9 so far that's what we've come to that conclusion.
10 Now, it also should be pointed out that in some of
11 the Board actions there's an ongoing action
12 recommended for NIOSH to work on a general policy
13 in certain areas, such as, I believe, that comes up
14 in the oronasal breathing issue, finding number
15 three, and I think finding number four as well.
16 You can look at, some of these issues we believe,
17 finding three, four and five especially I'm looking
18 at, several of these issues, as we were going
19 through these we realized that these are going to
20 be recurring issues on many sites potentially. And
21 therefore, NIOSH certainly wants to handle these in
22 a consistent manner; and therefore, should develop
23 some more generic guidelines on how to handle these
24 issues. And we should also review those.
25 So those are sort of outstanding actions, but any

1 site-specific actions we feel have been addressed
2 in the resolution process thus far. And therefore,
3 we bring forward this motion which I wrote this as
4 a recommendation to the full Board, but the motion
5 is written in terms of of the Board. So I don't
6 know if we want to have a discussion before the
7 motion or how --

8 **DR. ZIEMER:** If you are prepared to make this,
9 actually, this comes as a product of the work group
10 and constitutes a motion. It doesn't require a
11 second. So I'll simply declare that the motion is
12 open for discussion. In that context we can
13 discuss the matrix or any related item.

14 As you discuss this, identify who you are for Ray
15 Green's reporting purposes.

16 **MS. MUNN:** Mark, this is Wanda. I haven't had a
17 chance to check my e-mail this morning so I don't
18 know whether you did put together your specific
19 motion incorporating Bob's comment or not.

20 **MR. GRIFFON:** Yeah, I did. Mike also sent a
21 response to that, Wanda, and the nature of Bob's
22 modifications for those on the call was to change
23 the first part of the motion to read that it is the
24 working group's recommendation to the Board that

1 based on this information -- and I just thought if
2 we're going to -- I can go either way with this I
3 guess. But I thought if it's written in terms of a
4 motion that the top of my letter that I sent out to
5 everyone says that this is a motion from the
6 working group for the entire Board to vote on is
7 the way I was kind of writing it. So I wrote it in
8 terms of the Board, where mine says it is the
9 opinion of the Board.

10 **DR. ZIEMER:** Let me simply make a ruling on this
11 that will help move us along. Whatever is adopted
12 would be the adopted as a motion of the Board. And
13 Mark, I'm interpreting your group as recommending
14 some wording for the Board to adopt. But we
15 understand that this is the, the working group's
16 recommendation is that the following statement be
17 adopted by the Board, and then your words would
18 follow.

19 **MR. GRIFFON:** Is that clear, Wanda? Then so we're
20 going with the first draft that I --

21 **MS. MUNN:** Yes.

22 **DR. ZIEMER:** But whatever the, if the Board chooses
23 to pass this motion, then it would read as an
24 opinion of the Board.

1 **DR. ANDERSON:** It's pretty short. If others don't
2 have it, maybe you could just read it.

3 **DR. ZIEMER:** I will read it as it was distributed
4 in case members of the public don't have it or
5 others. It's, basically, it's a single sentence
6 and here's how it reads: "It is the opinion of the
7 Board and the Board's contractor that based on the
8 information available at this time, the Bethlehem
9 Steel site profile as modified through the comment
10 resolution process is acceptable for use in the
11 NIOSH dose reconstruction program with the
12 understanding that the action items listed in the
13 attached matrix will be completed, and that NIOSH
14 will track all ongoing action items and provide the
15 Board with quarterly updates on each of the six
16 items listed in the matrix."

17 And that is the motion. By implication the
18 matrix becomes part of the motion 'cause it's
19 referred to, and I'm not proposing to read the
20 whole matrix here. But the matrix has six
21 findings. It has the original, our contractor's
22 findings, NIOSH's response, and the final
23 resolution of those listed as what the Board agrees
24 -- the Board's actions.

25 Is there further discussion on this motion?

1 **DR. MELIUS:** Yes, this is Jim Melius. What I'm a
2 little confused about is what happens next. NIOSH
3 will then revise the site profile further or what
4 exactly will take place going forward?

5 **DR. ZIEMER:** I'm going to let Larry or Jim respond
6 to that, but let me point out that the generic
7 items which are part of findings three, four and
8 five which basically are anticipated to be items
9 which will show up again in other sites, not
10 necessarily the developing of generic guidance is
11 for future applications I assume, but that, the
12 Bethlehem site's not dependent on that. I believe
13 that's correct, but let Jim and Larry...

14 **MR. ELLIOTT:** There are some general, generally,
15 general issues relevant to other sites, and what
16 will happen next is we will revise the Bethlehem
17 Steel exposure model and any other technical
18 information bulletins that we, that are associated
19 with these issues. We'll bring those back to the
20 Board to show them how we've made those revisions.
21 We will proceed with doing dose reconstructions
22 under the intent of these changes.

23 **DR. ZIEMER:** Let me ask the question. These would
24 be the sort of generic models which would then be
25 used for both Bethlehem and other applications --

1 **MR. ELLIOTT:** As appropriate.

2 **DR. ZIEMER:** -- as appropriate.

3 Jim Melius, does that answer your question?

4 **DR. MELIUS:** Yeah, that helps. Assuming -- maybe I
5 shouldn't assume -- any questions, sir, what's
6 roughly the time frame for this?

7 **DR. ZIEMER:** Jim Neton's going to answer.

8 **DR. NETON:** Yeah, this is Jim Neton. Are you
9 speaking relative to the specific changes we're
10 making at Bethlehem Steel or the more overarching
11 issues raised in findings three, four and five?

12 **DR. MELIUS:** I would think the, both information if
13 you've thought about it. I don't know.

14 **DR. NETON:** I think that we've come to a pretty
15 good agreement as to what the path forward is for
16 the Bethlehem Steel issues, and I would hope that
17 we could get these put to bed fairly quickly,
18 probably not before the next Board meeting but
19 shortly thereafter.

20 I would like to be able to resolve those, you
21 know, modify the site profile and incorporate the,
22 our actions as we indicated here. But the longer
23 lead-type issues for three, four and five might

1 take a little while. I think we're on the order of
2 months.

3 **DR. MELIUS:** Okay, good. I was just, Mark's motion
4 that spoke to the idea of quarterly updates, and I
5 was just trying to separate out --

6 **MR. ELLIOTT:** It would be our interest to bring
7 these to closure as soon as possible and move on to
8 other, other --

9 **DR. MELIUS:** And I understand, I'm just trying to
10 understand what was happening.

11 **DR. NETON:** I would hope that we would have the
12 disposition well before the quarter is out.

13 **DR. ZIEMER:** Other questions or comments?

14 **MR. WALKER:** Yes, Dr. Neton, this is Eddie Walker.
15 Am I allowed to comment on that?

16 **DR. ZIEMER:** I think we'll allow Ed to comment
17 since he's been involved in the process.

18 Ed, please go ahead.

19 **MR. WALKER:** I received a letter from Mr. Elliott
20 on the 30th, 12/30/05, that was in response to a
21 letter that I had faxed him or e-mailed him back in
22 September 20th, 2005. I finally got my response.
23 It was sent to me by mail. I understand it was

1 sent out by e-mail, but I didn't get that. It
2 didn't come through.

3 And it didn't give me much time to prepare, but
4 I do have a considerable amount of issues that I
5 really think should be looked at. I think they're
6 important, and I think if we're talking about
7 having worker input, I think it's very important
8 that these be gone over before any final decision
9 is made.

10 **DR. ZIEMER:** Ed, can you transmit those to NIOSH or
11 have you already or...

12 **MR. WALKER:** Well, I was trying to but with the
13 time that I had, I didn't have quite enough time.
14 I hope to have them finished within a day, possibly
15 get them out tomorrow. There's quite a few issues
16 on the whole program as I see it from the worker
17 input.

18 **DR. ZIEMER:** Well, if you would transmit those to
19 Larry Elliott, and I think the Board would
20 appreciate getting copies of those as well because
21 if we had those, thank you, that would be useful.

22 **MR. WALKER:** Okay.

23 **DR. ZIEMER:** I don't know that that will affect
24 this action per se since we're, but you're

1 suggesting it might, Ed? Is that --

2 **MR. WALKER:** I would certainly think so. From
3 what, you know, from what I've put together. I've
4 gone back over all the findings. A lot of the
5 items are from the findings of the facts that
6 conflict with some of the stuff that I've been told
7 as we've been going along. And it's just a black-
8 and-white type thing.

9 **DR. ZIEMER:** Well, let me also comment to the Board
10 that even if the Board passes this item, if there
11 are issues that arise, I think if things are not,
12 this is not the situation that closes the doors to
13 future changes. I mean, the nature of how we do
14 site profiles is with new information we always
15 have the opportunity to go back and readjust if
16 needed. So certainly that input can be looked at
17 and it's, if this impacts on this that can be
18 handled.

19 **MR. ELLIOTT:** We certainly welcome any comment, any
20 input. We've welcomed it in the past. I think
21 clearly one of these six issues that we have on,
22 that have been presented in this matrix speaking to
23 us following up with former workers about an issue
24 on the cobbles, and we would certainly welcome any
25 comment or constructive criticism that we can

1 follow up on.

2 **DR. ZIEMER:** Thank you, Ed.

3 Board members, any other comment or questions?

4 **MR. GRIFFON:** Paul, one other thing. You forwarded
5 a letter yesterday or the day before. It's from
6 Clinton's staff, I believe.

7 **DR. ZIEMER:** I think the letter I forwarded was the
8 letter from Hillary Clinton. Is that the one?

9 **MR. GRIFFON:** Right. Does that have any bearing on
10 this discussion or --

11 **DR. ZIEMER:** Well, it deals with Bethlehem Steel,
12 and I was going to handle that separately after we
13 discussed this. I don't know that it necessarily
14 impacts on this action. Do you feel that it does?

15 **MR. GRIFFON:** I'm not sure, and I just glanced at
16 it. And I wasn't sure if we ever received it
17 before, but I didn't remember receiving it before.

18 **DR. ZIEMER:** No, I hadn't distributed it. I got it
19 after our last, you know, we had a number of
20 letters from the New York delegation which we
21 responded to after the last meeting. And then I
22 got the Clinton letter, I thought that probably a
23 similar response would be appropriate to describe

1 the Bethlehem Steel situation, but again, under the
2 Board's mandate. I have not responded to this
3 until we had a face-to-face meeting. And in any
4 event, it's similar to letters we've received from
5 the other members of the New York delegation,
6 representatives and senators and --

7 **MS. MUNN:** This is Wanda. I didn't see any new
8 items brought forward in Senator Clinton's letter
9 which would require any response other than the
10 ones that we have already given.

11 **DR. ZIEMER:** I was going to suggest that we take
12 separate action. The Board needs to authorize the
13 Chair to respond to the letter, but if you think
14 there's something in the letter that affects this
15 motion, we certainly can deal with that.

16 **MS. MUNN:** Mark, did you see anything other than
17 what was --

18 **MR. GRIFFON:** I just wanted to pause because I must
19 admit I've been pretty busy with Y-12 this weekend
20 so I just glanced at this. And I just wanted to
21 make sure --

22 **DR. ZIEMER:** The content to me looks very similar
23 to the other letters that we received from the New
24 York delegation; and therefore, I thought a

1 response similar to the others but updated with a
2 newer --

3 **DR. WADE:** Since it's been raised -- this is Lew
4 Wade, why don't I just read the letter for the
5 record?

6 **DR. ZIEMER:** Sure. And for the record I think this
7 letter goes on the website so, but --

8 **DR. WADE:** But just since it's been raised and the
9 context of possibly this vote, let me read the
10 letter. It's addressed to Paul Ziemer, dated
11 November 7th, 2005, from Hillary Rodham Clinton.

12 "Dear Dr. Ziemer: I am writing in regards to
13 your ongoing review of the site profile of the
14 Bethlehem Steel facility in Lackawanna, New York.
15 I understand that at the October meeting of the
16 Advisory Board on Radiation and Worker Health you
17 discussed issues raised by Sanford Cohen and
18 Associates about the site profile as well as new
19 information introduced by Mr. Eddie Walker. I
20 appreciate the Board's consideration of this new
21 information and the Board's commitment to include
22 Mr. Walker in future discussions about the site
23 profile.

24 "In my view, the new information presented by

1 Mr. Walker is further evidence that the Bethlehem
2 Steel site profile is faulty and cannot form the
3 basis for accurate dose reconstructions. It is now
4 more than five years since the Energy Employees
5 Occupational Illness Compensation Act (EEOICPA) was
6 signed into law on October 30th, 2000. After
7 passage of that act it took more than three years
8 for the National Institute of Occupational Safety
9 and Health (NIOSH) to issue the first site profile
10 for a Bethlehem Steel facility.

11 "The original site profile was flawed, and it
12 was subsequently revised in June of 2004, but only
13 after an audit of the June 2004 site profile by
14 Sanford Cohen & Associates did NIOSH take seriously
15 the comments of former workers such as Mr. Walker.
16 As a result, NIOSH has made corrections to the site
17 profile in the last year. But as your recent Board
18 meeting demonstrates, there are significant
19 outstanding questions about the site profile. In
20 addition, relevant information that is not
21 reflected in the site profile continues to be
22 brought forward.

23 "For all of these reasons I strongly believe
24 that the only fair course of action is to establish
25 a special exposure cohort of the Bethlehem Steel

1 workers, and I have introduced legislation to
2 accomplish this goal. The reason that a special
3 exposure cohort is necessary is that the data we
4 have at Bethlehem Steel is woefully inadequate.
5 There is no personal monitoring information for
6 Bethlehem Steel workers. The small amount of air
7 monitoring data that does exist was taken far from
8 the rollers where the uranium work took place, and
9 the use of surrogate data from the Simonds Saw
10 facility ignores important differences between the
11 two facilities.

12 "It is too late for the federal government to
13 meet the promise of 'timely' compensation made by
14 Congress when EEOICPA was passed in 2000, but there
15 is still an opportunity to treat Bethlehem Steel
16 workers and their families fairly. In light of the
17 lack of exposure data, the outstanding questions
18 about the site profile and the many years that
19 claimants have been waiting, I urge you and the
20 Advisory Board to act at your next meeting by
21 recommending a special exposure cohort for the
22 Bethlehem Steel facility.

23 "I thank you for your consideration of my views
24 on this important matter and look forward to your
25 prompt reply. Sincerely yours, Hillary Rodham

1 Clinton."

2 **DR. ZIEMER:** Thank you, Lew.

3 That is the letter and as I say, much of it is
4 similar to letters that we've received from other
5 members of the New York delegation. So I do need
6 to respond to it in some manner, and we can
7 actually discuss the response after we deal with
8 the motion. I think the immediate question was
9 does the letter itself impact on the motion?

10 And Mark, I think that was basically the
11 question you were asking.

12 **MR. BROEHM:** Dr. Ziemer?

13 **DR. ZIEMER:** Yes.

14 **MR. BROEHM:** This is Jason Broehm in the CDC
15 Washington office.

16 **DR. ZIEMER:** Yes, Jason.

17 **MR. BROEHM:** I just wanted to make sure that you
18 had also seen a November 14th letter from Senator
19 Schumer. It was sent to your attention in
20 Cincinnati.

21 **DR. ZIEMER:** I --

22 **DR. ROESSLER:** The one that was sent out on
23 November 28th, and I think we all have copies of it.

1 **MR. BROEHM:** It was forwarded by you to all Board
2 members.

3 **DR. ZIEMER:** Oh, okay, I don't have that letter
4 here, and so when you gave a date, I've received
5 over this past year several letters from Senator
6 Schumer so. I think it's been distributed to the
7 Board or was sent to all the Board members.

8 Questions or comments now? We're still dealing
9 with the original motion.

10 (no response)

11 **DR. ZIEMER:** I'm going to raise a sort of a
12 parliamentary question here. The motion, I'll ask
13 Mark, it says it's the opinion of the Board and the
14 Board's contractor. I'm wondering if the Board can
15 take an action to express the opinion of our
16 contractor. Might we -- and there are contractor
17 representatives on the phone, and I don't know if
18 the contractor is authorized to include this. But
19 I was going to suggest if we could say something
20 like it is the opinion of the Board based on input
21 from our contractor, but I -- Mark is that --

22 **MR. GRIFFON:** Yeah, that might be better. That
23 was, the intent was really just to indicate that,
24 you know, the contractor was involved in this

1 resolution process. So I think you're right. We
2 can't give their opinion, but based on input from
3 the contractor.

4 **DR. ZIEMER:** Well, I wonder if the working group
5 would consider that to be a friendly -- well, the
6 Chair shouldn't be amending the -- does someone
7 wish to propose that as a friendly amendment?

8 **MR. PRESLEY:** This is Bob Presley. I will, I had
9 sent something in to leave that statement out of
10 there, but I will be the person to offer that
11 friendly amendment.

12 **DR. ZIEMER:** Okay, the friendly amendment, and I
13 think we could put it parenthetically, it is the
14 opinion of the Board, parenthesis, based on input
15 from the Board's contractor. Would that be
16 satisfactory, Mark?

17 **MR. GRIFFON:** Yeah.

18 **MR. PRESLEY:** That's satisfactory to me. This is
19 Bob Presley.

20 **DR. ZIEMER:** Okay, that's the friendly amendment
21 from Bob Presley, agreed to by the mover of the
22 motion.

23 **MS. MUNN:** Well, Wanda has a little concern about -
24 -

1 **DR. ZIEMER:** Okay, Wanda.

2 **MS. MUNN:** I believe our contractor has agreed to
3 all of the items that are listed in the matrix.
4 We've gone through them rather extensively. And in
5 each case the contractor has agreed to all the
6 items that were dropped off of the matrix because
7 they were resolved, and has agreed to the
8 stipulations that are shown on the matrix.

9 This was not just input from the contractor
10 that brought us to this point. It was a rather
11 arduous effort with the contractor's involvement.
12 Therefore, I guess if we're going to, if we're
13 going to say that we cannot speak for the
14 contractor, then since the contractor is on record
15 as having agreed to all the things that we have
16 there, it's my feeling we should either leave the
17 wording that it is the opinion of both the Board
18 and the contractor, or we should eliminate the
19 contractor comment completely. Or we should expand
20 it further more than just by input from the
21 contractor.

22 They haven't, this has not been casual input is
23 the point I'm trying to make. And anyone who reads
24 this statement I would like to have understand very
25 clearly that the contractor has indeed agreed that

1 this is the circumstance now, and these have been,
2 these actions have been agreed to.

3 **DR. ZIEMER:** Thank you for that input.

4 What do the other Board members feel about it?
5 Do you want to leave it as it was?

6 In other words, Wanda, from what you said it
7 sounds like actually the working group was somewhat
8 intentional about including that statement, and it
9 has a certain strength of its own. Unless the
10 contractor objects, we could certainly leave it in.

11 **MS. MUNN:** Well, let's say that the working group
12 has discussed this specific point. And if there is
13 objection from the contractor, we have contractor
14 personnel on the call here. Is there an objection?

15 **DR. WADE:** Is John Mauro on the call?

16 **DR. MAURO:** Yes, I am. Either way is certainly
17 fine with us.

18 **DR. ZIEMER:** You have no objection to having --

19 **DR. MAURO:** Whatever the decision is, whether to
20 leave some language in there making reference to
21 the contractor or not, that's certainly, it's
22 appropriate from our perspective either way.

23 **MR. GIBSON:** This is Mike Gibson. I think we

1 should leave it as is.

2 **MR. GRIFFON:** I guess that was my original thought.
3 I agree, Wanda. You're correct on this.

4 **DR. ZIEMER:** We're hearing from Mark, Wanda and
5 Mike who are all on the working group that it was
6 their intent, the objector, or the contractor
7 doesn't object.

8 So Robert, the friendly amendment was not
9 sufficiently friendly, I guess. Do you object to
10 withdrawing that?

11 **MR. PRESLEY:** This is Bob Presley. I had offered
12 up something to the working group about leaving the
13 wording totally out, prior. I can live with it
14 either way.

15 **DR. ZIEMER:** It seems like most of the working
16 group thinks it should be in. Board members, any
17 objection to leaving it in as original?

18 (no response)

19 **DR. ZIEMER:** There appears to be no objection so
20 we're back to the motion as originally presented.

21 I noticed, Wanda, in the version you sent out,
22 you had asked that it be in parentheses, however.

23 **MS. MUNN:** Well, I had -- this is one of the

1 reasons why I said the working group has discussed
2 this point. We've gone back and forth about it.
3 And I am one of those who originally questioned
4 whether we could speak for the working group. And
5 then after discussion it was very clear to me the
6 working group has been, that the contractor's been
7 part and parcel of everything we've done in the
8 working group, and they have agreed to this. So
9 there's no reason why we shouldn't state that, in
10 my view now.

11 **MR. GRIFFON:** I think most of the discussion we had
12 was can we speak for the contractor, not how much
13 they weighed in.

14 **DR. ZIEMER:** Thank you.

15 Further discussion. We're dealing with the
16 motion as distributed by Mark. Board members are
17 you ready and comfortable with taking action on
18 this motion?

19 **DR. ROESSLER:** Yes.

20 **MS. MUNN:** Yes.

21 **DR. ZIEMER:** Okay, we're going to do it by roll
22 call so if you're in favor of the motion, say yes.
23 If you're opposed, say no. If you're abstaining,
24 say abstain. Lew will call the roll.

1 **DR. WADE:** Dr. DeHart.

2 **DR. DeHART:** Yes.

3 **DR. WADE:** Robert Presley.

4 **MR. PRESLEY:** Yes.

5 **DR. WADE:** Mike Gibson.

6 **MR. GIBSON:** Yes.

7 **DR. WADE:** Gen Roessler.

8 **DR. ROESSLER:** Yes.

9 **DR. WADE:** Wanda Munn.

10 **MS. MUNN:** Yes.

11 **DR. WADE:** Henry Anderson.

12 **DR. ANDERSON:** Yes.

13 **DR. WADE:** Jim Melius.

14 **DR. MELIUS:** Yes.

15 **DR. WADE:** Mark Griffon.

16 **MR. GRIFFON:** Yes.

17 **DR. WADE:** Leon Owens.

18 (no response)

19 **DR. WADE:** Richard Espinosa.

20 (no response)

1 **DR. ZIEMER:** I vote, too.

2 **DR. WADE:** Let the record show we have not heard
3 from Leon Owens or Richard Espinosa.

4 Paul Ziemer.

5 **DR. ZIEMER:** Yes.

6 **DR. WADE:** The motion passes.

7 **DR. ZIEMER:** Thank you very much.

8 In connection with Bethlehem Steel, let me now
9 raise the issue of responding to the Clinton
10 letter. Does the Board wish to have me respond in
11 a manner similar to the other letters to the New
12 York delegation? If I did so, I would simply
13 update the numbers to, say, the end of December
14 rather than the end of October. But, or do you
15 wish to propose that anything else be said?

16 **DR. DeHART:** This is Roy. I think since the letter
17 is very similar, if not identical, I would
18 recommend that we respond in kind.

19 **MR. PRESLEY:** This is Bob Presley. I agree.

20 **DR. MELIUS:** This is Jim Melius. I disagree. The
21 reason -- I don't have the other letters here, but
22 I have the response to the other letters. And I
23 think Senator Clinton raises some slightly

1 different issues, and I just think it would be more
2 sort of polite to craft a letter that may have only
3 a few changes in it. But I think the issue of the
4 special exposure cohort status at least, was not
5 addressed in our responses to the other letter.
6 And I would just caution that we look and make sure
7 that we're responding to the points raised in the
8 actual letter.

9 **DR. ZIEMER:** I don't recall actually whether that
10 was or not, Jim. I'll have to go back and look.
11 What I'm going to suggest, if everyone's agreeable,
12 that I draft a letter and have it ready for you to
13 review at our full meeting. I don't think we want
14 to wordsmith this now by phone. It would simply
15 delay things by a couple of weeks. But I think
16 rather than try to go back, I don't have the other
17 letters here with me in Cincinnati, but we could
18 get them. But does anyone object to us using the,
19 drafting a letter, and I would distribute it in
20 advance of the meeting and then you'd have an
21 opportunity to look at it? I'd take into
22 consideration the comments on SEC and any other
23 specific things, otherwise I think it probably is
24 quite similar.

25 **DR. WADE:** I think just to be clear on this, Jason

1 Broehm, are you with us?

2 **MR. BROEHM:** I am.

3 **DR. WADE:** Do you have the letter you referenced
4 from Senator Schumer?

5 **MR. BROEHM:** I do have it here.

6 **DR. WADE:** Could you read that letter just so, I
7 want to be sure that if that letter has been
8 responded to, we acknowledge it. If it's not, that
9 Dr. Ziemer also draft a response to that.

10 **MR. BROEHM:** It's a letter from Senator Charles
11 Schumer from New York dated November 14th, 2005,
12 addressed to Board Chair, Dr. Ziemer.

13 "Dear Dr. Ziemer: First of all, thank you for
14 recommending to the Secretary of Health and Human
15 Services that a special exposure cohort be granted
16 to the former workers of Linde Ceramics. The
17 Board's decision to apply the special exposure
18 cohort to long-suffering Linde Ceramics' workers is
19 just, enlightened and humane.

20 "Prompt approval of this approach will provide
21 former workers who were exposed to harmful and even
22 lethal doses of radiation while they toiled in
23 America's nuclear weapons program long overdue
24 access to justice. Today I wrote to Secretary

1 Leavitt, urging his final approval of this
2 recommendation.

3 "However, the intelligence of the Linde
4 decision only underscores the festering injustice
5 that continues to be visited upon the former
6 workers of Bethlehem Steel. Those workers have
7 waited far too long for the opportunity to seek
8 justice for the injuries they suffered while
9 building the arsenal of weapons that underpinned
10 our nation's security during the Cold War and
11 beyond.

12 "Therefore, today I am also urging the Advisory
13 Board on Radiation and Worker Health also grant a
14 special exposure cohort to Bethlehem Steel workers.
15 As you know the Linde decision was made using a 42
16 CFR, Chapter 1, Subpart (c), Section 83.6 which
17 allows NIOSH to grant a special exposure cohort to
18 workers if there is 'insufficient information to
19 estimate the radiation doses of the claimant with
20 sufficient accuracy.' I believe that this clause
21 is also applicable to the former workers at
22 Bethlehem Steel.

23 "Currently, data from the era when Bethlehem
24 Steel workers were exposed is incomplete. In an
25 attempt to devise a dose reconstruction model,

1 NIOSH is using air sample data from Simonds Saw and
2 Steel. It is very possible that an accurate dose
3 reconstruction model cannot be formulated, a
4 situation that will exacerbate delay. Simply put,
5 further delay in granting compensation to former
6 Bethlehem Steel workers is unconscionable. A
7 better, simpler, faster and infinitely more just
8 approach is to grant a special exposure cohort to
9 these workers as soon as possible, perhaps at the
10 next meeting of the Board.

11 "Secondly, I ask you to hold the next full
12 meeting of the Board scheduled for January 25th
13 through 27th, 2005 (sic), now scheduled to be held
14 in Knoxville, Tennessee, in Buffalo, New York. I
15 believe all of the former Cold War era nuclear
16 workers have the right to witness actions taken on
17 the site profile and to directly participate in the
18 public comments session.

19 "Despite having one of the greatest
20 concentrations of facilities involved in nuclear
21 weapons production and related activities, western
22 New York continues to be severely underserved by
23 the Energy Employees Occupational Illness
24 Compensation Program. During the Cold War, New
25 York was home to 36 former atomic weapon employer

1 sites and DOE clean-up facilities. In the eight
2 counties of western New York there are 14
3 facilities that participated in the manufacture of
4 America's nuclear arsenal. The time is now to
5 allow these beleaguered Cold War soldiers to
6 directly participate in the program that was
7 designed to provide the justice and compensation
8 their sacrifice merits.

9 "If you have any questions, please do not
10 hesitate to contact me. I can be reached at 2-0-2-
11 2-2-4-6-5-4-2. Sincerely, Charles Schumer, United
12 States Senator."

13 **DR. WADE:** Thank you.

14 For the record, I think that letter has been
15 distributed to Board members.

16 **DR. ZIEMER:** I believe that's correct. So both of
17 these letters will require a response, and both of
18 them reference the issue of a special exposure
19 cohort for Bethlehem Steel. So that would require
20 a specific, or a somewhat different response than
21 the original letters did.

22 So what I will propose then is drafting both of
23 these letters for Board review. Now, I don't know,
24 this issue of meeting in Buffalo, I'm not sure we

1 can do anything about that at this time since that
2 Oak Ridge meeting's been established for quite some
3 period there, right, Lew?

4 **DR. WADE:** Correct. I don't think that's an
5 option.

6 **DR. ZIEMER:** Any comments, Board members, on that
7 Schumer letter?

8 **MR. GIBSON:** Dr. Ziemer?

9 **DR. ZIEMER:** Yes.

10 **MR. GIBSON:** This is Mike Gibson. Since, as far as
11 I know, we haven't made our travel plans or
12 anything else other than maybe booking the motel in
13 Oak Ridge, or not -- yeah, Oak Ridge, and I
14 understand that we're not going to be able to
15 deliberate the Oak Ridge or the Y-12 SEC petition,
16 is it, in fact, too late to try to get a motel in
17 Buffalo and change our meeting place?

18 **DR. WADE:** This is Lew Wade. I think logistically,
19 Mike, it could be done. I think that we will have
20 substantial discussions in Oak Ridge on the Y-12
21 site profile. Again, the issue of a special
22 exposure cohort really needs to be sorted. There
23 is no such proposal on our table; and therefore, it
24 would be my sense that we would continue with our

1 plan to meet in Oak Ridge in the end of January.

2 **DR. ZIEMER:** Both of these letters indicate that
3 some legislation has been or is being introduced by
4 both individuals to designate Bethlehem Steel as an
5 SEC. We don't have a petition I don't believe.

6 **MR. ELLIOTT:** No, sir. This is Larry Elliott. We
7 do not have a petition.

8 **MR. GIBSON:** I'm sorry; I misspoke. I meant the
9 site profile was on the agenda I believe.

10 **DR. ZIEMER:** Right, right.

11 **DR. ROESSLER:** This is Gen Roessler. Paul, on the
12 letter, I have one in my file that you responded to
13 Senator Schumer on November 28th. Is that a
14 different letter?

15 **DR. ZIEMER:** Yes, there was an earlier letter that
16 we had at our last meeting. There were several
17 letters from different ones in the New York
18 delegation, and we approved a response which
19 basically provided them information on the awards
20 already made at Bethlehem Steel and the status of
21 the claims there at least through, I think,
22 October.

23 Basically, it was an information letter. And
24 that was based on the fact that the earlier letters

1 seemed to imply that no one at Bethlehem Steel had
2 been, no cases had been dealt with or something to
3 that effect. And a large number have been already,
4 doses have been reconstructed, and actually quite a
5 large number of awards were actually made. But it
6 was simply an information letter.

7 **DR. ROESSLER:** Okay, I think I --

8 **DR. ZIEMER:** These two came in after our Board
9 meeting, and therefore, have not been responded to.

10 Yeah, go ahead.

11 **DR. ROESSLER:** Thank you.

12 **DR. WADE:** I just think I would -- this is Lew.
13 I'd be pleased to hear from the Board as to its
14 desires on the location of the next meeting. I
15 just stated my view.

16 **DR. ZIEMER:** Any other comments?

17 **MR. WALKER:** Dr. Ziemer?

18 **DR. ZIEMER:** Yes.

19 **MR. WALKER:** Eddie Walker. I certainly obviously
20 would like to see it in Buffalo being that I
21 understand that Bethlehem Steel was the largest AWE
22 facility in the country, and we're the ones that we
23 had the first dose reconstruction along with the

1 site profile and technical based document, that it
2 would only be fair to the group up here that it be
3 discussed and a settlement made up here of some
4 sort or a decision made up here. So I think
5 Senator Schumer asking for it to be held in Buffalo
6 is certainly a reasonable request.

7 **DR. ZIEMER:** Thank you.

8 Other comments, Board members?

9 **MR. PRESLEY:** This is Bob Presley.

10 **DR. ZIEMER:** Bob.

11 **MR. PRESLEY:** As I understand it right now, we do
12 not have any action that can be taken in Buffalo
13 until we get an SEC petition from them. Is that
14 correct?

15 **DR. ZIEMER:** I believe that's the case. Is that --
16 let me defer here.

17 **MR. ELLIOTT:** That's correct. We have no petition
18 on Buffalo on the Bethlehem Steel site and with the
19 Board's motion being passed just now , we will, you
20 know, make revisions to the site profile, but I
21 don't believe that we have any business relevant to
22 Bethlehem Steel for the --

23 **DR. ZIEMER:** Well, I think that Jim told us that

1 those revisions would not be ready for our next
2 meeting anyway. Is that correct?

3 **DR. NETON:** Right, the --

4 **MS. MUNN:** I think that's correct.

5 **MR. PRESLEY:** That's what I heard him say. This is
6 Bob Presley. I can see -- I hate to say that, but
7 I can see no reason right now for changing this
8 meeting, and then maybe down the road we schedule
9 one for Bethlehem Steel when something comes up.

10 **DR. ZIEMER:** Other comments?

11 **MS. MUNN:** This is Wanda. I understand the concern
12 that everyone has for timeliness here, but I also
13 understand the need for timeliness with respect to
14 all of the other sites that are involved. And we
15 do have a basketful of sites. We are currently
16 working on several activities in the Oak Ridge
17 area, and Y-12 is taking an incredible amount of
18 time and an incredible amount of effort for all of
19 the agencies and the contractors involved. We
20 probably need to be at Y-12.

21 **MR. WALKER:** That burden -- pardon me, Dr. Ziemer?

22 **DR. ZIEMER:** Yes.

23 **MR. WALKER:** That burden wasn't caused by Bethlehem

1 Steel workers. I thought there was ample time that
2 we could have come to a decision by now, but I
3 can't see where Bethlehem Steel, as far as having a
4 meeting in Buffalo or down at Y-12, you know, it
5 doesn't make much difference to me except I don't
6 think any final decision should be made outside of
7 Buffalo.

8 **DR. ZIEMER:** Okay, thank you.

9 **MR. WALKER:** And we have been working on it a
10 considerable amount of time, and I know everybody's
11 put a lot of work into it. But I just feel that we
12 should, it should be done up here being that other
13 facilities are waiting on our decision on how you
14 do your dose reconstruction program. And as far as
15 not putting in a special exposure cohort, the
16 reason that wasn't done because our site profile
17 was completed, and we were being denied in 2003.

18 So what's the sense of putting it in in 2004
19 when you've already been denying our claimants and
20 judging our claimants whether they get approved or
21 disapproved? Why a year later would we put in a
22 special exposure cohort when I was told by one of
23 the executives that we wouldn't get it anyway
24 because of the dose reconstruction, that they can
25 construct one at Bethlehem Steel? So what would be

1 the purpose of me going through that, of putting
2 our group through going through all of that when we
3 know we're going to have a dose reconstruction?

4 **DR. ZIEMER:** Okay, thank you.

5 Board members, any further discussion on either
6 the letters or the siting of the next meeting?

7 (no response)

8 **DR. ZIEMER:** Just let me make sure that I have some
9 kind of consensus or at least agreement. Are you
10 agreed that I should go ahead and develop a
11 proposed response to these two letters for action
12 at the January meeting? Any objections to that?

13 **MS. MUNN:** No, this is Wanda. I think you should
14 do that. My only concern is whether the senators
15 will continue to think that this is an additional,
16 unnecessary delay. They're concerned with
17 timeliness. But I see no other way that we can do
18 it fairly.

19 **DR. ZIEMER:** Well, I think it's quite possible,
20 sort of off-line that NIOSH and maybe Lew is able
21 to keep their staffs apprised of, I think they're
22 aware of our own internal limitations on responding
23 to these letters. So they understand the situation
24 and that the response will be shortcoming, and they

1 can be kept apprised of, you know, the situation in
2 that regard.

3 **MS. MUNN:** I would appreciate your drafting it for,
4 on behalf of the Board.

5 **DR. ZIEMER:** Unless I hear objections, I'll plan to
6 do that.

7 At this point it doesn't appear that we have
8 any strong sentiment to move the meeting, so and
9 that's really not an agenda item, but unless the
10 Board members wish to make specific motions, I'm
11 going to proceed here with the agenda.

REPORT FROM WORKING GROUP ON
BOARD REVIEW OF SEC PETITIONS
DR. JAMES MELIUS, GROUP CHAIR

12 Our next item on the agenda is the report of
13 the working group on SEC petitions, and Dr. Melius
14 has chaired that working group, and Jim if you
15 would -- let me make sure everybody has a copy of
16 Jim's draft document. It's called "Report of the
17 Working Group on Special Exposure Cohort Petition
18 Review". It's a draft dated December 29th. Jim,
19 thanks for putting the date on that.

20 **DR. MELIUS:** I figured it would make it easier --

21 **DR. ZIEMER:** Right, we always have these problems
22 with drafts, which one came first. So Jim, if

1 you'll proceed and present, walk us through that
2 and any comments you wish to make.

3 **DR. MELIUS:** It just indicates that the draft dated
4 12/29/05 does not incorporate all of the comments
5 from other working numbers. Paul has actually sent
6 me some comments, and Mark has, that are not yet
7 incorporated into the draft. And Roy was also
8 looking over it, and I think, will be sending some
9 comments. So I think everything can be blamed on
10 me and probably on the transcript because I did go
11 over, try to reference some of the stuff back to
12 the transcript at the time.

13 **DR. ZIEMER:** Jim, before you just take us through
14 that, let me point out that I don't think we need
15 to necessarily take action on this today. This is
16 basically an information report for the Board,
17 solicitation of additional input perhaps with the
18 opportunity to update the draft and maybe come to
19 closure at the next meeting or later depending on
20 how we progress. Is that, was that your
21 understanding as well?

22 **DR. MELIUS:** Correct, yeah. What I will just try
23 to do is sort of walk through the process
24 (inaudible) the report, but leave it open for
25 comments. And then we'd also obviously be open to

1 raising comments from both Board members as well as
2 others. And we can then incorporate and probably
3 produce another draft in time for the next Board
4 meeting in a few weeks.

5 **DR. ZIEMER:** Okay, thanks, proceed.

6 **DR. MELIUS:** There was a meeting held in mid-
7 November of the work group. We've had, at that one
8 meeting, members of the work group were myself, Roy
9 DeHart, Mark and Paul. Also attending the meeting
10 in Cincinnati was Lew Wade, Larry Elliott, Jim
11 Neton, Stu Hinnefeld and a number of other NIOSH
12 staff members, and I believe Brad Clawson also sat
13 in for much of the meeting.

14 And the purpose as we discussed at our last
15 meeting was sort of to try to develop a document
16 and a procedure and some criteria that would help
17 both NIOSH and the Board in evaluating special
18 exposure cohort petitions. And in doing that we
19 determined that we would not, we would use, develop
20 this document in the context of the current
21 regulations, and we would not try to question or
22 change or propose changes to those regulations.

23 So some of us would be, want to do that or
24 certainly have concerns about the regulations.

1 This was developed within the context of the
2 current regulations to that. And so really we're
3 focusing on, you know, is the criteria of
4 sufficient accuracy and so forth and NIOSH's
5 current methods.

6 We identified a number of key points or what we
7 labeled there the second page of this is "Key
8 Considerations for Board Review". One was that
9 these petitions needed to be reviewed and evaluated
10 in a timely fashion. So what we, in that our
11 Board's evaluation of NIOSH's evaluation or Board's
12 review of NIOSH's evaluation of an SEC petition
13 also needed to be able to be completed in a timely
14 fashion. And so we needed to sort of stay focused
15 and there's a number of considerations that came up
16 there.

17 We obviously were concerned that the evaluation
18 and our review of that evaluation should consider,
19 you know, should the fairness of our actions. Was
20 this consistent with what was being done at other
21 sites, and were we treating everybody potentially
22 within the cohort in the same manner.

23 It also needed to be understandable or
24 comprehensible to those involved. And that in
25 itself can be quite challenging given how

1 complicated these sources of information can be and
2 how much uncertainties there are.

3 And then as I mentioned, they needed to be
4 consistent, we need to be consistent both within
5 sort of evaluating a petition from a site, and
6 treat everybody at that site fairly, but also we
7 need to maintain consistency from site to site in
8 evaluating petitions.

9 We also then focused on sort of the scope of
10 the review recognizing that each petition was
11 different, every site was different, and we could
12 develop some general criteria, several steps for
13 evaluation but again recognizing that these would
14 have to be modified going from site to site, and
15 even petition to petition within a site, so would
16 do that.

17 One of the key areas that we focused on because
18 it had become a area of concern, and we'd spent a
19 lot of time on it dealing with Iowa, Mallinckrodt
20 and SEC evaluations was the credibility and
21 validity of the datasets that were being under
22 consideration. And so in our evaluation, NIOSH's
23 evaluation and our, the Board's review of the
24 evaluation, we thought that we needed to sort of
25 try to pin down what were the key criteria that we

1 would, type of criteria that we would be looking at
2 in evaluating the credibility and validity of the
3 datasets.

4 I think one key concept is that we wanted NIOSH
5 to be able to hone in on what were the important or
6 key datasets that in a sense would be key for
7 making a determination of a special exposure
8 cohort. If they weren't, those sources were, the
9 particular exposures were not going to make a
10 significant contribution to a person's overall
11 exposure, you know, a person who worked at that
12 site, their overall exposure, we didn't need to
13 spend as much time.

14 But I think our experience has been in both
15 Iowa and Mallinckrodt was that there are certain
16 key sets of data that were going to be critical for
17 evaluation of people's exposure at that site, and
18 those were the ones that we needed to focus on.
19 And I think also as we found, I think, in
20 Mallinckrodt that it may take some time for NIOSH
21 to figure out what are the key, critical datasets.

22 So I'll describe a number of criteria or areas
23 that need to be focused on in looking at the
24 datasets. One was the pedigree of the data.
25 Secondly, obviously, is the methodology that was

1 being used to monitor exposure. Whether it was
2 either external or internal monitoring. What was
3 the relation of that dataset or information in that
4 dataset to other sources of information about the
5 site, about the (inaudible) to other sources of
6 exposure data from that site. And finally, NIOSH
7 needs also to be looking at the internal
8 consistency of that data.

9 And then another, I think, key concept was the
10 representativeness of the data. What areas of the
11 facility were represented in that dataset so that,
12 did it include all the relevant areas where people
13 were exposed? The time period of that dataset were
14 critical. And particularly as we tended to look at
15 particular time periods, sort of the border or the
16 margins of those datasets, exposure datasets,
17 become important where they shift to a more robust
18 form of exposure monitoring. I think we spent a
19 lot of time trying to figure out how do you
20 extrapolate from one set to another, one time
21 period to another.

22 The types of workers in processes covered by
23 the exposure dataset were important. And again,
24 one concept here was making sure that all the key
25 types of work or job titles, however we split up,

1 are well-covered by that exposure dataset. That we
2 needed to, it may be very good for one group of
3 workers, but could conceivably be a very poor
4 characterization of the exposures for another set
5 of workers.

6 And I think that sort of flows into sort of
7 datasets and subsets of that data in terms of what
8 areas, geographic areas might be covered, what
9 groups of workers are covered. And I think we've
10 come up with sort of a set of key questions that
11 need to be evaluated there.

12 Then we also talked about ways that NIOSH can
13 demonstrate the feasibility and sufficient accuracy
14 of that. You know, what did the evaluation of a
15 special exposure cohort, what information needed to
16 be presented to the Board in a way that would help
17 us come to a decision or come to making our
18 recommendation. Some of that was what was feasible
19 to do, plausible in terms of being able to do the
20 evaluation, but the timeliness of the overall
21 effort, NIOSH has a time period put on them for
22 evaluating petitions.

23 The Board needed to be responsive to that. We
24 needed to be able to focus on the data at hand at
25 that time, that while there may need to be further

1 work done on it in order to be able to do
2 individual dose reconstructions, that needed to be
3 able to be accomplished within a reasonable time
4 period. We had to also void -- disburse the
5 treatment of different groups of claimants to that.

6 And finally, I think we agreed that in, similar
7 to how we've done in the most recent petition
8 evaluations, I believe at Mallinckrodt, that sample
9 or representative dose reconstructions were a
10 useful way of demonstrating, of NIOSH demonstrating
11 to the Board that there are methods that might be
12 proposed if they believe it's feasible to do
13 individual dose reconstruction, that that would be,
14 that was a good way of demonstrating that to the
15 Board, and the Board evaluating NIOSH's plan.

16 We also proposed, talked about some procedural
17 changes to the way that throughout the process.
18 One was that NIOSH in presenting to us their
19 evaluation plan, that at some point this plan
20 becomes a little bit more detailed than what's
21 being developed now. Right now, NIOSH because
22 really puts out a plan before they really had much
23 of an opportunity to explore the data and develop a
24 specific and comprehensive plan for how they're
25 going to evaluate that data in relationship to the

1 petition.

2 Like we were looking for a, it may be somewhat
3 later in the process, a more detailed plan thinking
4 that that would help the Board focus on how it
5 would need to do to review this petition or this
6 evaluation of the petition as well as NIOSH in
7 going forward. And also, I think as we've
8 discovered in doing the past few SEC petition
9 evaluations was that the review of the site
10 profile, or at least the parts of the site profile
11 that are relevant to the petition were extremely
12 useful in being able, the Board being able to
13 evaluate and review NIOSH's evaluation of that SEC
14 petition.

15 So that's a thumbnail sketch of the summary of
16 a three-hour meeting. I believe the transcript of
17 our discussions and deliberations is found on the
18 website that may contain more detail. There are
19 certainly some things that I think that are left,
20 that haven't been sort of fleshed out in this. I
21 think we were trying to give time for people to
22 react.

23 But it may very well be that either as part of
24 this work group plan or as part of some later Board
25 deliberations or the work groups that we may want

1 to more fully develop some of these criteria that,
2 at least critical criteria that keep coming up over
3 and over again in our SEC petition evaluations.

4 What is, what do we mean by feasibility,
5 representativeness and issues like that that we may
6 want to spend more time on.

7 I think it's fair to say, and I'll let Larry or
8 Jim or Lew, whatever, that even though this was a
9 work group of the Board, there was significant
10 input from NIOSH at that, a really good exchange so
11 I think we're hoping that our final set of
12 recommendations is something that will help NIOSH
13 in terms of how it evaluates SEC petitions. And in
14 turn, might just focus for the Board in our review
15 of those evaluations.

16 **DR. ZIEMER:** Jim, thanks for leading us through
17 that. There are some comments here from Larry
18 Elliott first of all.

19 **MR. ELLIOTT:** Jim, I think you did an excellent job
20 of giving us an overview of the discussion that was
21 held. I think it was a very valuable discussion.
22 I certainly appreciated hearing the thoughts and
23 comments of the working group, and we tried to be,
24 from NIOSH's side of the table, very contributory
25 to the discussion as well.

1 I think it's clear to us that while we may have
2 been doing some of these things that are, that you
3 identified in this document and from our
4 discussion, we weren't doing them as openly and as
5 transparently as we should be. And we certainly
6 take note of that and we'll work and strive harder
7 to show how we proceed with our evaluations of
8 these petitions.

9 I think it was very helpful to us to have the
10 discussion about sufficient accuracy and
11 feasibility and representativeness of data, and we
12 look forward to continuing this discussion. I
13 would offer that, you know, I think a lot of these
14 considerations are being factored now into how we
15 proceed in developing our evaluations of SEC
16 petitions, how we proceed in our review of site
17 profiles. And we're taking this all to heart as we
18 move forward.

19 **DR. ZIEMER:** Thank you, Larry.

20 **DR. MELIUS:** This is Jim Melius.

21 **DR. ZIEMER:** Jim, go ahead.

22 **DR. MELIUS:** I think it's also important that I
23 think also we as a Board, and I'll speak for myself
24 here, not necessarily for the whole Board, but I

1 don't think we're always being as consistent and
2 careful in terms of how we were evaluating your
3 evaluations or reviewing the evaluations produced
4 at NIOSH.

5 I think we're all sort of searching and trying
6 to find what would be the best way so we weren't
7 always asking the questions at the first meeting.
8 And maybe the third meeting or whatever, the third
9 time something came up that we'd say, no, let's
10 look at this. Or we'd have this question or that
11 question.

12 And I think what we're both trying to look for
13 is, both the Board and NIOSH, is a way, sort of a
14 path forward that is more efficient so we don't end
15 up on some of these, spending a lot of time or a
16 lot of meetings trying to go over territory that's
17 not really, turns out not to be very helpful, and
18 so in the same time provides an overall a fair and
19 sound review of these petitions. So hopefully what
20 we're trying to achieve here is something that
21 would help and work for both of us in this process.
22 So I don't believe it's trying to be critical of
23 what NIOSH has done or not done. I think it's been
24 sort of a, whether it's fault, it's mutual. And I
25 think we just needed to really sort of focus in now

1 that we've had some experience dealing with these
2 evaluations.

3 **MR. ELLIOTT:** Jim, I agree, and I think it's going
4 to lead us to a more efficient operation. We're
5 going to be able to handle these petitions more in
6 their reviews, their evaluations and your review of
7 that in a more efficient way than we have.

8 **DR. ZIEMER:** Yeah, and Lew has some comments here
9 as well. Lew, go ahead.

10 **DR. WADE:** I have no comments about the excellent
11 work product, but just to remind the Board of a
12 conundrum that we face and will continue to face.
13 That is, once a petition is qualified, NIOSH has
14 180 days to put a petition evaluation report before
15 the Board. As this piece of work points out in
16 several locations, particularly the last two
17 sections of petition evaluation and site profile
18 review.

19 Quite often during that period there is very
20 active work going on in terms of site profile
21 review and resolution. This creates a problem for
22 all of us. I think what this document begins to
23 ask NIOSH to do is to -- and I'll read from it.
24 "To extent that it is feasible for NIOSH to

1 delineate the planned scope of their evaluation
2 including the actual steps they plan during the SEC
3 evaluation, this will help to facilitate the
4 planning and preparation to the necessary schedule
5 of meetings, conference calls, et cetera."

6 So there is an understanding here that it's
7 quite possible that while NIOSH might put out an
8 initial evaluation report, that evaluation report
9 might have to delineate some specific actions that
10 are planned and underway. I think it's also
11 important that the message of this report and it's
12 -- I read from the last element, number two site
13 profile review. "Whenever possible the Board's
14 review of the site profile for the site where an
15 SEC petition is being considered, should precede
16 the SEC evaluation review." It's a lesson we
17 learned at Mallinckrodt. I think it's a lesson we
18 need to take to heart.

19 I would like to talk just a bit about Y-12.
20 We're actively involved in now discussions of the
21 Y-12 site profile. It appears to us at NIOSH that
22 we will not be prepared to discuss the SEC petition
23 to closure at the meeting at the end of January
24 because we haven't completed the SEC evaluation
25 review.

1 So, you might have heard it in other locations.
2 It is, therefore, our position that we will not
3 take up the SEC petition for Y-12 at the end of
4 January meeting. We will delay it as we continue
5 to work on the petition evaluation issues.

6 **MR. ELLIOTT:** And I would offer that have treated,
7 there were three petitions on Y-12, all three were
8 merged together. And we treated two of the three
9 fully and one of the three partially. And we have
10 the remainder years that were proposed in that
11 petition, 1948 to 1957, under current evaluation.
12 That's why it's critical in our minds for us to
13 resolve the issues around a site profile and answer
14 those questions on those years.

15 **DR. ZIEMER:** Thank you for those comments.

16 Board members now a couple items here. I think
17 Jim is really soliciting your comments on the
18 draft, correct, Jim, so that before our next
19 meeting we can consider and include the appropriate
20 comments.

21 And then the other thing that we would like to
22 do today, the Chair would like to do is, if any
23 Board members believe that there are major concepts
24 or considerations that have been missed or

1 overlooked by this work group, we need to identify
2 what those are or if there's any significant flaws
3 in this approach in your mind identify what those
4 are so that we can be sure to address those as the
5 revisions are made.

6 So let me just call on Board members. Is there
7 anyone who wishes to point out some what you think
8 is a concept or area that needs to be added or
9 significant changes? I'm not looking for word-
10 smithing right now.

11 **MR. GRIFFON:** Paul, before we move on to that, can
12 I just ask Lew or Larry a question about the Y-12
13 petition?

14 **DR. ZIEMER:** Sure.

15 **MR. GRIFFON:** Is there a calendar issue here? When
16 did the clock start ticking, and when is the
17 deadline for this evaluation report? Are we --

18 **MR. ELLIOTT:** Well, the clock started ticking when
19 the petition became qualified, and we met the 180
20 day deadline and provided an evaluation report to
21 the Board that spoke to the early years of Y-12.
22 And we are still pursuing the remainder years for
23 that one petition.

24 **MR. GRIFFON:** The clock for the rest of the

1 remaining years? I don't understand it, but it's
2 not an issue any more or...

3 **MR. ELLIOTT:** Well, I don't believe we see it as an
4 issue, that we met the 180-day mark by providing a
5 recommendation to the Board, an evaluation report
6 on the early years, and we have provided a
7 recommendation essentially to the Board that we're
8 continuing our evaluation on the remainder of that
9 petition pending the resolution of the site profile
10 issues.

11 **DR. ZIEMER:** We've also, those initial deadlines
12 have been met. Now action is with the Board and
13 there's, the clock doesn't really run for now. Is
14 that correct?

15 **MR. ELLIOTT:** I believe that's the way we would see
16 it.

17 Mark, does that answer your question?

18 **MR. GRIFFON:** Well, it's an answer, yeah. I just,
19 I thought that the entire, that an SEC petition had
20 to have an evaluation report for all members of a
21 class by that given deadline. I know this is a
22 little different because it's been sort of merged,
23 it merges three different petitions, but I'm a
24 little unclear, but --

1 **MR. ELLIOTT:** I think it's a matter of how one
2 interprets the amendment language, and I don't
3 believe the merger contributes to the issue here,
4 the merger of three petitions. It's actually one
5 petition that we haven't provided a complete
6 resolution for the petition. We've provided a
7 recommendation in the evaluation report that
8 resolved the early years and recommended a class.

9 And we stated therein that we were pursuing the
10 evaluation for the latter years. And now we feel
11 that we need to hold on coming forward with any
12 recommendation on those latter years until we have
13 resolved the site profile questions.

14 **DR. ZIEMER:** Thank you.

15 Let me return to my previous remark now Board
16 members. On the work group product any comments or
17 recommendations for Jim before we leave this
18 subject?

19 **MR. GIBSON:** Paul, this is Mike.

20 **DR. ZIEMER:** Yeah, Mike.

21 **MR. GIBSON:** I just have a little bit of, I'd like
22 to ask Jim maybe if he could comment for me. The
23 difference in feasibility and plausibility seems to
24 be kind of just intermingled. To me there seems to

1 be a difference between feasible and plausible.
2 Plausible to me means something that it's just,
3 it's seemingly or apparently that you could or
4 could not do something as opposed to feasible.

5 I mean there seems to be a distinct difference,
6 but yet these words seem to be used
7 interchangeably, and I just wondered if Jim could
8 comment on that or if they feel the same way, or
9 they might consider changing that language a little
10 bit.

11 **DR. MELIUS:** Yeah, Mike, this is Jim Melius. As I
12 indicated while I was presenting this, that is a
13 little bit confusing and it has something to do
14 with sort of the outline that we wrote this from.
15 And we were using them somewhat interchangeably
16 when we were talking in the work group meeting in
17 Cincinnati. And I think they just need to be
18 separated out a little bit. And that may be the
19 easiest way of doing it.

20 **DR. ZIEMER:** But perhaps some clarification of the
21 use of those terms in the document. Okay, thank
22 you, Mike. That's a good point.

23 **DR. DeHART:** Roy DeHart.

24 **MR. ELLIOTT:** If I might, Roy, I'd just jump in on

1 top here and say that we certainly agree from the
2 NIOSH side that we need to be clear on what
3 plausibility and feasibility mean. But in a, after
4 number one, plausibility and feasibility, at the
5 end of that passage there it speaks about the upper
6 bound estimates must be plausible. I think that is
7 appropriate use of that word in that context. And
8 when we were talking about feasibility, we were
9 talking about the feasibility of doing dose
10 reconstruction. And then when you start applying
11 the different methods (inaudible) data you bring in
12 plausibility.

13 **DR. ZIEMER:** Yeah, there is a distinct difference
14 and we need to clarify that. I think the point's
15 well made.

16 Jim, we need to make sure that that's clear in
17 the document.

18 **MR. ELLIOTT:** I'm sorry, Roy.

19 **DR. DeHART:** Not a problem. It was simply a
20 comment that addresses both issues. And that is in
21 the discussion that was held, it became very clear
22 that evidence based is one of the major decisions
23 on what NIOSH is doing as it applies (inaudible)
24 and technology against the petition. And I think

1 that the fact that evidence based is so critical
2 that in the information section where we're trying
3 to explain to the world what's happening, there
4 needs to be an incorporation of the phrase and an
5 explanation of what is meant by evidence based.

6 **DR. ZIEMER:** Thank you, Roy. Where would that be
7 in the document?

8 **DR. DeHART:** I don't think it's in the document per
9 se that you have, you've been reviewing. It's in
10 the discussion that occurred in Cincinnati.

11 **DR. ZIEMER:** Oh, okay, but where would it be
12 incorporated in the --

13 **DR. DeHART:** In the early section, Section Three,
14 Understandable.

15 **DR. ZIEMER:** Thank you.

16 **DR. MELIUS:** Yeah, this is Jim. I agree with that.
17 I was a little hesitant to use the term since it's
18 so widely used now in the medical world, but I
19 think it is a good concept, and I'll add it in
20 there.

21 **DR. ZIEMER:** Thanks, other comments?

22 **DR. ROESSLER:** Yes, this is Gen.

23 **DR. ZIEMER:** Gen.

1 **DR. ROESSLER:** Under the section
2 representativeness, for example, number four where
3 it talks about sufficient data, i.e., is it
4 statistically robust. And then there's another
5 area where something with regard to statistics is
6 mentioned. I think I'd like a little clarification
7 as to what do we mean by, in that case,
8 statistically robust? How would that be identified
9 or, you know, what would the test be?

10 **DR. ZIEMER:** Actually, and Jim, I guess this is
11 considered you have to determine whether that's
12 something that has to be in the document or whether
13 the burden is simply on NIOSH in each case to
14 demonstrate that something is statistically robust.
15 I don't know whether a definition is called for
16 here or not. Maybe that should be considered, but
17 the point is made.

18 And Jim, I assume you're taking notes on these?

19 **DR. MELIUS:** I am.

20 **MR. GIBSON:** Dr. Ziemer?

21 **DR. ZIEMER:** Yes.

22 **MR. GIBSON:** This is Mike again. Is this just an
23 internal Board deliberation or is the public going
24 to have, once we get this policy --

1 **DR. ZIEMER:** Well, the document will certainly be
2 made public. I'm not sure it's on the website yet,
3 but it'll be part of our deliberations for the next
4 meeting so it's going to be a public document.

5 **MR. GIBSON:** I'm just saying at that point is the
6 public going to have input on what determines the
7 approach for an SEC petition as far as our
8 criteria?

9 **DR. ZIEMER:** Well, I think let me answer that in
10 part and maybe NIOSH can also. I think on any
11 petition the public has opportunity in the public
12 comment period to comment on any issue in the
13 petition. Members of the public could, for
14 example, try to make the case for why something
15 isn't statistically robust for example or whatever
16 issue they have with, relative to our procedures.
17 So I think that, I believe that opportunity exists,
18 and I'll call on Larry if you want to comment
19 further on that.

20 **MR. ELLIOTT:** Well, I think you're absolutely
21 right. It does exist at that point. There's
22 opportunity for public comment also when the Board
23 takes up this document for further deliberation at
24 your next meeting. There'll be a public comment
25 period, and this document I'm sure will be at the

1 public table.

2 **DR. ZIEMER:** Mike may be asking for its application
3 in particular, Mike you can speak for yourself, in
4 particular cases will the public have an
5 opportunity to, for example, indicate that they
6 think that the procedure is not being followed in
7 some way or was that the issue you were raising?

8 **MR. GIBSON:** Yeah, I'm discussing this house in
9 particular. When we deliberate this, will the
10 public have input?

11 **DR. ZIEMER:** Oh, yes.

12 **MR. GIBSON:** Okay, thank you.

13 **DR. WADE:** I think -- this is Lew Wade -- I think
14 another strength to this document once it's been
15 vetted and exists, is that it could be read by
16 people who were contemplating preparing a petition,
17 and they could use this document to frame their
18 argument given the fact that this is the Board's
19 sense of how it would be evaluated. I think that's
20 providing really a great service.

21 **DR. ZIEMER:** Thank you.

22 Other comments or issues?

23 **MS. MUNN:** This is Wanda.

1 **DR. ZIEMER:** Thank you, go ahead.

2 **MS. MUNN:** I have a little bit of a problem with
3 this robust, too. I always have. I think it goes
4 back to the nebulous nature of, or perhaps I should
5 say the individually interpreted nature of what a
6 term might mean. As I'm sure all of you are aware,
7 prior to the last decade the term robust was
8 usually applied to a person's health. And suddenly
9 it became a very popular term in term, in the
10 business and academic world. And I've never been
11 able personally to identify when something becomes
12 robust and when it does not. I think it may
13 depend, like beauty, on the eye of the beholder.

14 **DR. ZIEMER:** I can't define robust, but I know it
15 when I see it. Is that right?

16 **MS. MUNN:** Yeah, that's exactly --

17 **DR. ZIEMER:** Well, I think perhaps in the document
18 we might have, we might be able to discuss it in a
19 little more definitive way, and then as I say in
20 particular cases it may be up to NIOSH to show that
21 statistically something is strong. And obviously
22 there's a continuum.

23 **MS. MUNN:** It would be helpful I think, even Mr.
24 Webster doesn't help. I'm staring at him right

1 now, and he's talking about things that exhibit
2 strength or vigorous health and it's...

3 The one other thing, a completely overarching
4 concept which may or may not be appropriate for
5 this document, but it's one that concerns us
6 continually and comes up time and time again, is
7 the issue of timeliness. We have concerns
8 ourselves about, very strong concerns about the
9 timeliness of what we do, and how we can do it.
10 And certainly, every single one of the claimant
11 population regardless of whether or not they're in
12 a special exposure cohort, are very concerned with
13 the timeliness of our activities. It is, when we
14 issue documents like this, it would seem judicious
15 for us to consider the possibility of phrasing our
16 timeliness issues in such a way that we incorporate
17 something about the limits of resources that are
18 available to accomplish these things. I know we're
19 trying to outline here how we feel things can be
20 most expediently done, but realistically, if we do
21 not help identify for the public that there are
22 limits to the resources involved in producing these
23 documents and doing dose reconstructions, then I
24 don't think anyone else is going to make that
25 obvious. It would, in my view, be very helpful if

1 we at least make reference when we talked about
2 timeliness to the fact that all of the things we do
3 are of necessity.

4 **DR. ZIEMER:** Certainly a good point. You may want
5 to provide some suggested wording that Jim might be
6 able to incorporate into that part of the document,
7 if you would please.

8 **MS. MUNN:** I didn't say a thing. Yes, I'll try to
9 do that.

10 **DR. MELIUS:** Well, even if you don't -- this is
11 Jim, Wanda, I will. I've made notes here so I will
12 try.

13 **MS. MUNN:** Thank you.

14 **DR. ROESSLER:** Paul, this is Gen.

15 **DR. ZIEMER:** Yes, Gen.

16 **DR. ROESSLER:** In offering our critique, I didn't
17 mean to overlook the fact that I wanted to comment
18 on the overall document. I think this group has
19 done an excellent job. And I agree with Wade that,
20 or Lew Wade, that I think by doing this, this helps
21 everybody and it helps possible petitioners and so
22 on. And in particular I think they've done a good
23 job of identifying the four key principles. Thanks
24 to all the participants that we've got it in

1 writing now.

2 **DR. ZIEMER:** Other comments?

3 **MR. GIBSON:** Paul, this is Mike again.

4 **DR. ZIEMER:** Yes, Mike.

5 **MR. GIBSON:** I think what Wanda was pointing out, I
6 think may have just kind of alluded better to what
7 I was saying about feasibility and plausibility.
8 There is a limit on technical information and time
9 and money and et cetera. And is it feasible to do
10 an accurate dose reconstruction as opposed to using
11 the word plausible? I think that further --

12 **DR. ZIEMER:** Right, I think you're right, Mike, and
13 that in some cases has to do with resources
14 available and even some of the other parameters
15 that were identified. The point is appropriate,
16 yes.

17 Other comments?

18 (no response)

19 **DR. ZIEMER:** If not, this does not require action
20 today, but we will look for a revised copy to come
21 before the Board hopefully at our next face-to-face
22 meeting later this month. Again, thank you, Jim,
23 and work group, and for all the work done on this

1 document. Another comment?

2 **MR. GIBSON:** Yeah, this is Mike again.

3 **DR. ZIEMER:** Yeah, Mike.

4 **MR. GIBSON:** So if I understood NIOSH correctly,
5 just let me clarify this, we're going to deliberate
6 this draft at the next meeting, and there will be
7 room for the public comment --

8 **DR. ZIEMER:** Yes, that's correct.

9 **MR. GIBSON:** -- before it's adopted? Is that true,
10 Lew?

11 **DR. WADE:** Correct.

12 **MR. GIBSON:** Okay, thank you.

13 **DR. MELIUS:** This is Jim Melius. I will try to get
14 a copy to Lew after I get comments in from people
15 and the comments have been raised so far, get a
16 copy over to Lew, say ten days or a week or so
17 before the next meeting so they can post the draft
18 we will be discussing on the website. That would
19 be helpful.

20 **DR. ZIEMER:** And perhaps at least to address Mike's
21 concern about public input, we need to make sure
22 that we schedule this on the agenda for a time
23 which is perhaps after the regular public comment

1 period so that those, we might alert the public to
2 it. It'll be available, and if people wish to
3 comment on it, they could. Or we could have our
4 discussion and defer action until after the public
5 comment period.

6 **DR. WADE:** Right, I think what we'll do is we'll
7 schedule two public comment periods. At the first
8 we'll make sure that everyone is aware of this and
9 the fact that it will be discussed. And then we
10 can hear comment from them as we might like, then
11 we would have a discussion of the issue.

12 **DR. ZIEMER:** So we'll try to make sure that happens
13 that way, Mike.

14 **MR. GIBSON:** Okay, thank you.

15 **DR. ZIEMER:** And you make sure it does, too.

16 **DR. WADE:** Let me be clear. I wasn't clear on my
17 words. There are two public comment periods. The
18 first public comment period will alert people to
19 this. Then we'll have a second public comment
20 period where they can come, and then after that
21 second public comment period we'll deliberate. So
22 I think that meets your intention, Mike.

23 **MR. GIBSON:** Right, thank you.

24 **DR. WADE:** I'd like to go back to the issue that

1 Mark raised because I don't want to gloss over it.
2 And that is that the 180 days and the issuance of
3 an evaluation report that, it's our interpretation,
4 the interpretation at least how that speaks to me
5 that that requirement only applies once to the
6 issuance of the initial evaluation report.

7 Once that requirement has been met, there could
8 be long discussions with the Board as there was in
9 Mallinckrodt. There could be iterations in the
10 issuance of further evaluation reports. There is
11 no clock running there, only the initial clock for
12 the issuance of the initial evaluation report.

13 So Mark, that's in part an explanation to
14 what's going on here. Certainly NIOSH, if it was
15 going to modify that report substantially, would
16 have to do that before the Board was to take up the
17 discussion of the SEC petition at a Board meeting.

18 **MR. GRIFFON:** I mean, I guess I, you know, I just
19 am, I was a little surprised, Lew, because I know
20 there's been a lot of push. Even at the last Board
21 meeting you seemed to suggest that we really needed
22 to move with the working group and move so that
23 NIOSH could complete an evaluation report to
24 present at the next Board meeting. I just had that
25 like there was still some kind of time deadline in

1 mind. And I'm just a little concerned that now is
2 a completely open-ended. I'm sure that all of us
3 will be trying to close it out ASAP, but I guess
4 that I just wanted a little more clarification on
5 how this opinion was arrived at.

6 **DR. WADE:** I think there's always a timeliness
7 pressure on the Board regardless of the 180 days.
8 And I think it would have been ideal if we could
9 have voted on the Y-12 later years SEC at the end
10 of January. But what I hear from NIOSH is they are
11 not in a position to issue an evaluation report
12 substantially at this point; and therefore, I think
13 the only prudent thing to do is to wait.

14 **MR. ELLIOTT:** I don't know if it's of any
15 consolation, but I have spoken with the petitioners
16 and explained the current status and the decision
17 that we have made regarding evaluating the
18 remainder of their petition. They were certainly
19 thrilled, of course, that we added a class for the
20 early years, and they seemed very understanding and
21 accepting of our need to resolve the issues around
22 the site profile before we move forward with the
23 remainder of their petition.

24 **DR. WADE:** But the alternative we face, Mark -- and
25 we can talk about this at the meeting -- would have

1 been to force NIOSH to issue an addendum to their
2 evaluation report that would have been incomplete
3 and likely changing. And we would have been down a
4 Mallinckrodt path, and I don't think we want to do
5 that again either.

6 **MR. GRIFFON:** Well, yeah, I understand the
7 technical constraints certainly, but --

8 **DR. WADE:** This is the conundrum I mentioned
9 earlier. We're going to have to deal with this in
10 many shapes and sizes as we move forward because of
11 the Board's desire to be complete in its
12 deliberations with the site profile before it takes
13 up an SEC, and the fact that there are time
14 pressures associated with an SEC. So this is
15 something we're going to have to get better at.

16 **MR. GIBSON:** Paul, this is Mike.

17 **DR. ZIEMER:** Yes, Mike.

18 **MR. GIBSON:** Does anyone have the exact language on
19 the law for the SEC's because it seems like I
20 remember it -- I'm kind of like Mark. It seems
21 like something to the effect that all the
22 documentation must be ready within 180 days or
23 something like that, not just parts and pieces or,
24 you know, parcel it out.

1 **MR. ELLIOTT:** We don't have it here in front of us
2 but the language reads, "a recommendation".

3 **DR. WADE:** We will read the language either, right
4 after lunch we'll get the language, and we'll read
5 it.

6 **DR. ZIEMER:** We can return to this -- can you have
7 it now?

8 Hold on just a minute here, we're trying to get
9 --

10 **MR. ELLIOTT:** The language that specifies --

11 **DR. ZIEMER:** Well, let's, we'll get the language
12 and see what, and clarify it here in a little bit,
13 Mike and Mark, and make sure. I think NIOSH
14 believes that they have met the requirements --

15 **MS. HOMOKI-TITUS:** Dr. Ziemer?

16 **DR. ZIEMER:** Yes.

17 **MS. HOMOKI-TITUS:** I'm sorry. This is Liz Homoki-
18 Titus. I just joined the call. I have the
19 language. "Deadlines, not later than 180 days
20 after the date on which the President received the
21 petition for designation as members of the special
22 exposure cohort, the Director of NIOSH shall submit
23 to the Advisory Board on Radiation and Worker

1 Health a recommendation on that petition including
2 all supporting documentation."

3 **DR. ZIEMER:** We have received a recommendation.

4 **MR. GIBSON:** But, this is Mike again.

5 **DR. ZIEMER:** Yeah, Mike.

6 **MR. GIBSON:** Including all supporting documentation
7 would be provided within 180 days?

8 **MR. ELLIOTT:** On the recommendation.

9 **MS. HOMOKI-TITUS:** Right, it's all supporting
10 documentation on the recommendation.

11 **DR. ZIEMER:** I believe Larry had told us that the
12 one part of the recommendation was that additional
13 work be done, and the basis for that was
14 documented.

15 **MR. ELLIOTT:** Yes, in the evaluation report we said
16 specifically that we would continue the evaluation
17 for the latter years.

18 **DR. ZIEMER:** That was the recommendation.

19 **MR. PRESLEY:** This is Bob Presley. I have a
20 question.

21 **DR. ZIEMER:** Yeah, Bob.

22 **MR. PRESLEY:** If you get another petition of

1 similar action, and we roll it, or you all decide
2 to roll it into the SEC, does the clock start all
3 over again or is it still a 180-day clock?

4 **MR. ELLIOTT:** The clock starts all over again on
5 that petition when it becomes qualified.

6 **MR. PRESLEY:** Okay, all righty.

7 **MR. ELLIOTT:** But if it is qualified, the 180-day
8 clock for that petition starts.

9 **MR. PRESLEY:** That's what I thought. Thank you.

10 **DR. ZIEMER:** Any further comments on that?

11 (no response)

REPORT FROM WORKING GROUP ON
Y-12 SITE PROFILE
MR. MARK GRIFFON, GROUP CHAIR

12 **DR. ZIEMER:** I think we can move ahead on our next
13 agenda item, which is a report from the working
14 group on the Y-12 site profile. You should have
15 Mark's report which is a draft report. I believe
16 he sent it out over the weekend, maybe the seventh.
17 Mark's group, the working group just met last
18 Thursday so he had to scramble to get this report
19 out.

20 But anyway, there's a working group report,
21 which is -- I'm looking for page numbers to see how
22 long it is. But is there anyone that didn't get

1 Mark's report that was e-mailed out over the
2 weekend? It's called "Summary of Work Group
3 Meeting Discussion and Action Items".

4 **MS. HOMOKI-TITUS:** Dr. Ziemer?

5 **DR. ZIEMER:** Yes.

6 **MS. HOMOKI-TITUS:** I didn't get it. I don't know
7 if LaShawn didn't get it or didn't have the
8 opportunity to send it out to us. So if somebody
9 has it by e-mail, that would be great, otherwise
10 I'll just look at a copy of it later.

11 **DR. ZIEMER:** Can somebody e-mail it to Liz right
12 quick? Or can we get it out to Liz?

13 **MR. GRIFFON:** The same with the matrix.

14 **MS. HOMOKI-TITUS:** I didn't get any of these
15 documents so Emily can just fax them to me at
16 lunch. That's fine.

17 **DR. ZIEMER:** One way or the other we'll get them to
18 you.

19 **MR. GRIFFON:** Are we going to start these after
20 lunch, Paul, or do you want to move into them now
21 or?

22 **DR. ZIEMER:** Well, let me ask what the Board would
23 like to do. Do you want to take a break now, or do

1 you want to --

2 **UNIDENTIFIED SPEAKER:** There is no matrix with
3 this.

4 **DR. ZIEMER:** No, the matrix was sent out in, I have
5 the matrix as dated at November 12th.

6 **DR. NETON:** That November 5th matrix will -- I don't
7 want to speak for Mark, but a matrix will be coming
8 out of the product of the working group, I think.

9 **DR. ZIEMER:** An updated matrix.

10 **DR. NETON:** An updated matrix which will be a
11 summarized version of --

12 **DR. ZIEMER:** The original matrix was a 50-page
13 document.

14 **MR. GRIFFON:** Yeah, I sent an updated matrix --

15 **DR. ZIEMER:** -- that Mark distributed that last
16 November.

17 **MR. GRIFFON:** Did I not distribute the updated
18 matrix? It should be a shorter matrix.

19 **MR. ELLIOTT:** All we got was the summary notes and
20 action items.

21 **DR. ZIEMER:** Do we need the matrix for the
22 discussion, Mark?

1 **MR. GRIFFON:** Not really. I have a five-page
2 matrix which sort of puts in matrix form what's in
3 the summary notes so it's really just maybe an
4 easier way to look at it. But I can also try to e-
5 mail that at lunch. I, myself, I would like to
6 take a lunch since I've scheduled a phone call for
7 that time.

8 **MS. MUNN:** I don't think the shorter matrix may
9 have gotten --

10 **DR. ZIEMER:** I didn't get a shorter matrix and
11 NIOSH doesn't appear to have it here either, Mark.
12 Would you e-mail that out?

13 **MR. GRIFFON:** Yes, I will. I think I e-mailed it
14 to Joe Fitzgerald for his quick review from SC&A's
15 standpoint.

16 **MS. MUNN:** Yeah, I think that may have been the
17 case because --

18 **MR. GRIFFON:** I probably didn't distribute it to
19 everyone. I'm sorry.

20 **MS. MUNN:** Yeah, I still have that monster with 135
21 items on it.

22 **MR. GRIFFON:** I'll e-mail that right now, and then
23 people over lunch can read it or whatever.

1 **DR. NETON:** Mark, who are you going to send it to?
2 Will you send it to me, maybe?

3 **MR. GRIFFON:** Yeah, can I send it just to Jim and
4 John --

5 **DR. ZIEMER:** Well, the Board members will need it,
6 too.

7 **MR. GRIFFON:** -- and then the entire Board I'll
8 send it to.

9 **DR. NETON:** I can print out my copy here and --

10 **DR. ZIEMER:** Liz, do you need a copy?

11 **MS. HOMOKI-TITUS:** I would like to get one, but I'm
12 sure that somebody who gets it can just forward it
13 to me.

14 **MR. GRIFFON:** And Lew, you're on my mailing list.
15 Can you forward it to others that need it?

16 **DR. WADE:** Yes, I will.

17 **DR. ZIEMER:** Okay, then we'll take a recess for,
18 till one o'clock. And just for housekeeping, does
19 everybody call back in on this number? Is that how
20 that works?

21 **MR. ELLIOTT:** Yes, you call back in and use your
22 pass code.

23 **DR. ZIEMER:** Okay, any questions on that? If

AFTERNOON SESSION 1:00 P.M.

1
2 **DR. ZIEMER:** I think we can go ahead and get
3 underway. During the lunch hour we had a request
4 that the motion that was approved this morning on
5 Bethlehem Steel be reread into the record, and Lew,
6 do you have that -- yes, I have it here. Let me read
7 that motion again. It's the motion that was approved
8 by Board vote this morning relative to Bethlehem
9 Steel. Here it is.

10 "It is the opinion of the Board and the Board's
11 contractor that, based on the information available at
12 this time, the Bethlehem Steel site profile as modified
13 through the comment resolution process is acceptable for
14 use in the NIOSH Dose Reconstruction Program with the
15 understanding that the action items listed in the
16 attached matrix will be completed and that NIOSH will
17 track all ongoing action items and provide the Board with
18 quarterly updates on each of the six items listed in the
19 matrix."

20 And that is the action that was taken this morning.

21 **DR. WADE:** Thank you, Paul.

22 This is Lew Wade. I -- again, evolving our technique in
23 terms of holding these kinds of conference calls so if at
24 any point there's someone on the call who feels compelled
25 to ask that a bit of information be shared or read,

1 please don't be shy. Whether we're, we'll be able to do
2 that or not, I don't know. But don't be shy in terms of
3 making a request. We really want not only transparency
4 but enlightened transparency so people can understand
5 what we're talking about.

6 **DR. ZIEMER:** In some cases such as the matrix, we can
7 make it available by e-mail.

8 Hang on, we've got an extraneous phone going off. The
9 Chairman forgot to turn his phone off. I think that was
10 a call to order exactly what it was.

11 **MS. MUNN:** The Chairman is to be complimented on his
12 choice of musical --

13 **DR. ZIEMER:** Yeah, in place of a gavel we have to use
14 that.

15 I already took a roll call. The only one that was
16 missing from this morning is Anderson. Did Dr. Anderson
17 come online yet?

18 (no response)

19 **DR. ZIEMER:** Still not back. Well, we'll proceed.

20 **DR. WADE:** We have a quorum and --

21 **DR. ZIEMER:** We have a quorum and perhaps he'll be
22 joining us shortly.

23 The item that's before us now is the report from the
24 working group on the Y-12 site profile. We have two
25 documents done. We have the narrative report that Mark

1 had distributed over the weekend, and now we've added to
2 that the five-page matrix to support that document.

3 So Mark, with that, do you want to --

4 **DR. WADE:** Might I -- just before Mark begins, this is on
5 the altar of conflict of interest. We're going to be
6 talking about the Y-12 site profile. There are three
7 members of the Board who are currently identified as
8 conflicted on Y-12: Dr. Ziemer, Mr. Presley, Dr. DeHart.
9 Again, our procedures on a site profile are that those
10 individuals can be involved fully in the discussion.
11 They can stay at the table. They can contribute as they
12 would. If there was to be a vote, they would recuse
13 themselves. We don't anticipate a vote on this issue,
14 but just again to be transparent, that's the situation.

15 **MR. PRESLEY:** Understood.

16 **DR. ZIEMER:** Thank you.

17 Okay, Mark, let me turn the mike over to you, and you can
18 proceed.

19 **MR. GRIFFON:** I just wanted to make sure that there's a
20 narrative and the matrix, and the other thing I should
21 say right up front is that the both of those refer back
22 to a December 19th report put together by SC&A, I believe,
23 and edited by NIOSH, which was the conference call notes
24 from the December 19th, 2005 meeting.

25 And the reason I say that is because in some cases

1 in this draft you'll see like Issue 1-A and Items 1, 2
2 and 3. Items 1, 2 and 3 are laid out explicitly in the
3 previous set of notes. So you might have to do a little
4 bit of cross-walking to completely follow these
5 documents.

6 And then one other bit of information for this is that,
7 and we tried to highlight this in the summary notes, that
8 these items, while this is a site profile review, the
9 focus clearly has been on the issues which the work group
10 and which SC&A actually identified them out of their
11 overall findings.

12 And they basically looked at the overall findings
13 and said, of these, which ones are likely to affect or
14 may affect the SEC petition before us. So we clearly
15 focused on sort of these major items that could likely
16 affect, and it doesn't necessarily reflect all the
17 findings in the original Y-12 review that SC&A did. As
18 an additional homework assignment this weekend, I did
19 take these and sort of cross-walk back to the original
20 findings.

21 And it's not always that straightforward. There was
22 a very lengthy matrix that NIOSH put together, and if you
23 look back at SC&A's original report, there's eight basic
24 findings but under each one of those findings there's
25 several items, many items actually in some cases. I just

1 want to be clear that this is not necessarily the
2 universe of findings in the original SC&A report, but
3 rather the work group's evolved to these sort of findings
4 that we believe are the major items of interest or of
5 concern with regard to the SEC petition before us.
6 And then just walking through them, the format, there's
7 internal dose is divided up or is up front, and each,
8 under each issue there might be some items listed within
9 a certain issue. And then for each, there's sort of a
10 discussion of each, of what went on at the work group
11 session. And then below that there's the actions
12 related, or actions that came out of the discussions.
13 And we felt like, I mean, it's actually good that we did
14 this quickly from the Thursday meeting because we want to
15 make sure we stay on top of these actions as we move
16 forward. As you can see -- well, let's walk through the
17 pages.

18 Issue 1-A, validity of data, items 1, 2, and 3, I
19 rolled those together because in our discussion of this
20 topic, items 1, 2 and 3 sort of overlap a bit and we sort
21 of discussed all three at one time. Basically, there has
22 been progress from the last meeting. NIOSH has made some
23 data, some data available on the website, on the server
24 actually, on the O drive so that SC&A and the Board have
25 had access to an Access database for both uranium

1 urinalysis records from '50 to '57 and external
2 monitoring records. But there remains to be quite a bit
3 of work done in terms of validity of, and verification of
4 that data.

5 Is somebody going to ask a question or...

6 **DR. ANDERSON:** This is Andy. I just came on.

7 **DR. ZIEMER:** Okay, thanks, Andy.

8 Go ahead, Mark.

9 **MR. GRIFFON:** Let's see.

10 **MS. MUNN:** Reliability.

11 **MR. GRIFFON:** Yes, and Wanda, I hear Wanda's comment. We
12 did have a discussion during this, and it's captured in
13 the discussion topic here, of as we were talking about
14 validity, validation of the data, there were some
15 concerns about that term being used for this process and
16 people interpreting it differently. It has a certain
17 relevance in the research arena, and, you know, we're in
18 a compensation program.

19 So I think we're trying to clarify through the work
20 group process what exactly we, we're, you know, what
21 exactly they need to do to prove or to demonstrate, I
22 guess, that this is reliable is the new term that we
23 threw around at the work group sessions, that the data's
24 reliable to use for dose reconstructions.

25 And with that in mind we, I think where the

1 discussion sort of ended up was that more needs to be,
2 there is going to, I think that NIOSH raised some
3 concerns about the fact that it's going to be likely very
4 difficult to uncover raw records, raw laboratory logbooks
5 or data cards, et cetera, associated with this data. So,
6 you know, how can they demonstrate the reliability of the
7 data?

8 And we've discussed other possible means such as
9 cross-walking with health and safety reports such as
10 looking for quality control reports, past quality control
11 reports from the time period, other items like that which
12 are, some of which are outlined in the action items. So
13 I don't think, I think we're still asking NIOSH to pursue
14 whether there exists raw data, but I think they might
15 report back to us, you know, how easily or not so easily
16 accessible that data is. So I think that's the crux.

17 The other --

18 **DR. ZIEMER:** Mark, can I interrupt --

19 **MR. GRIFFON:** Sure.

20 **DR. ZIEMER:** -- just for clarification? And in this case
21 by reliability, you're asking how well the secondary set
22 of information represents the original dataset?

23 **MR. GRIFFON:** Yes.

24 **DR. ZIEMER:** Okay. And this has nothing to do with how
25 good the data is, but whether it's a fair representation

1 of what is actually in the record. What you have is on a
2 disk did you say?

3 **MR. GRIFFON:** Yes. So we have a database, electronic
4 database.

5 **DR. ZIEMER:** Electronic database.

6 **MR. GRIFFON:** And also the other part of --

7 **DR. ZIEMER:** But that was generated by who? By DOE?

8 **MR. GRIFFON:** Well, this was by, yes, apparently this was
9 Y-12 data transferred directly to the Center for
10 Epidemiological Research, CER, because I use that acronym
11 in here.

12 **DR. ZIEMER:** Not associated necessarily with this program
13 but sometime in the past?

14 **MR. GRIFFON:** Right. My understanding, Jim, Jim Neton,
15 correct me if I'm wrong on that.

16 **DR. NETON:** Right, this is an exact, we believe, a copy
17 of the database that Y-12 uses for their radiation
18 protection program.

19 **DR. ZIEMER:** So if there were some way to even sample
20 selected pieces of this against an original, that would
21 be a validation procedure, but that's the issue then.

22 **MR. GRIFFON:** That's the issue, right.

23 **DR. NETON:** We believe that these records may be in the
24 Atlanta Records Center or some place like that which
25 could take quite awhile to retrieve. Then the question

1 arose as to what, when you have hundreds of thousands of
2 records, what's a representative, you're going to say
3 it's verification or validation, then you get into the
4 scientific issue --

5 **DR. ZIEMER:** Yeah, well, you need a robust sample is what
6 you need.

7 **DR. NETON:** We did spend some time debating what that
8 really meant.

9 **DR. ZIEMER:** Okay, thanks.

10 Mark, proceed.

11 **MR. GRIFFON:** Jim, I could hardly hear you on that last
12 comment, but --

13 **DR. NETON:** I think Paul's paper may be covering up the -
14 -

15 **MR. GRIFFON:** Anyway, the other part of the database is
16 the, one other factor in there was in the urinalysis
17 database I believe there is this question of a lot of the
18 values say calculated values, and they're dpm for 24-hour
19 period I believe. And a question was raised as to how,
20 you know, how these were calculated.

21 And we received some information on that from an
22 annual report of 1965. We asked for some more follow up
23 on that, just how were raw data values converted to dpm
24 per 24-hour period as entered in the database? So that's
25 the other, the other side, they're sort of tied together,

1 but they're a little different.

2 And I think that covers, I mean, I'm not going to
3 read through every action item, Paul, unless --

4 **DR. ZIEMER:** No, actually these action items are fairly
5 recent, right?

6 **MR. GRIFFON:** Yes.

7 **DR. ZIEMER:** So these are things that NIOSH will be
8 working on --

9 **MR. GRIFFON:** Yes, and I --

10 **DR. ZIEMER:** Lew, for clarification, are these things
11 NIOSH has already agreed to?

12 **MR. GRIFFON:** As of an e-mail this morning, I think, Jim.

13 **DR. NETON:** By eight o'clock, I got the e-mail over the
14 weekend, but I wasn't aware that --

15 **DR. ZIEMER:** Well, I wasn't clear whether you'd agreed to
16 this in the working group and Mark is just recording it
17 or --

18 **DR. NETON:** No, actually as of about, that's right, about
19 eight o'clock this morning I reviewed this document, and
20 we have nothing of substance to add or --

21 **DR. ZIEMER:** Okay.

22 **MR. GRIFFON:** I would also note to all, you know, SC&A
23 and NIOSH and work group members, I think these are still
24 draft and I can still make edits to these after this
25 meeting I believe.

1 **DR. ZIEMER:** Yeah, and keep in mind this is just a status
2 report here. We're not taking action on this today.
3 You're just giving the Board a status report.

4 **MR. GRIFFON:** Right.

5 **DR. ZIEMER:** -- giving the Board a status report.

6 **MR. GRIFFON:** And I should note, if you look in the
7 matrix, I don't know if it came across in the summary
8 notes as well, but in the matrix these pretty much
9 tracked one to one, they should anyway. But in my third
10 column I say outstanding action items, and the reason I
11 put it that way is because the last conference call notes
12 that you have, December 19th, there are other actions in
13 here which, you know, I want to give NIOSH credit on
14 progress they have made.

15 And I started to go back to the original findings
16 and make the matrix, but it was just becoming too
17 confusing over the weekend for me to pull all that
18 together because the number schemes are different and
19 everything. But they have, all these actions that we
20 have now are outstanding ones, but that doesn't mean that
21 in between December 19th and last week's meeting there
22 wasn't any progress.

23 There was some progress. We have access to some
24 databases and things like that, and they have responded
25 to questions in other documents that we've received. So

1 I just want to make that point that these are now new
2 action items. They might have been carried over, but
3 they're essentially the outstanding action items.

4 **MS. MUNN:** Mark, this is Wanda. I made very few notes
5 during our meeting. I was relying on other people to be
6 my memory for me. But I did have three comments down
7 here, and one of them is clearly covered in the
8 compressed, the matrix that we have here.

9 But a couple of them I'm not sure whether they were
10 covered. And actually the first one I am not certain
11 whose action it was and precisely what we were talking
12 about. But I made a note, "will track through manuals to
13 find out where the conversion numbers came from." Was
14 that covered in this last item you were just discussing?

15 **MR. GRIFFON:** Yeah, the conversion factor. That should
16 be item 4, item number four, yeah.

17 **MS. MUNN:** Right, just wanted to make sure that was
18 covered. And item 1, back on the third page, 1-c-1 under
19 Action Item 2, when you were talking about NIOSH was
20 sending a copy of the spreadsheet, I had noted "action
21 NIOSH get to SC&A the key for collapsing the data into
22 these larger categories." Was that captured?

23 **MR. GRIFFON:** I think that is the spreadsheet.

24 **DR. NETON:** Yeah, there were two spreadsheets, Wanda, and
25 actually, I sent those Friday.

1 **MR. GRIFFON:** It might be spreadsheets, yeah.

2 **MS. MUNN:** Okay, I had thought somehow that there was
3 another step in there somewhere that was necessary to
4 make that conversion clear, but if this spreadsheet does
5 it, great.

6 **MR. GRIFFON:** Yeah, I think it does it, yeah. And as Jim
7 mentioned, this is real time. And I saw Jim Neton's e-
8 mail come across that said that they've updated one of
9 the external, I think the external monitoring database.
10 They've added job titles now, and that's what the action,
11 so they've already partially completed some, you know,
12 they're working on these. It's real time.

13 **MS. MUNN:** Yeah, my sense is they're moving quickly on
14 this.

15 **DR. ZIEMER:** Okay, Mark, proceed.

16 **MR. GRIFFON:** All right, I guess we can then go to page
17 three which is item 4. We're under -- this is not my
18 numbering system by the way. Issue I-a, item 4 is what
19 we're kind of looking at, and intake of insoluble uranium
20 and there is an action item. There's one action item for
21 this, which is basically to, that NIOSH agreed to further
22 look. I think this is a carryover action to further look
23 at this question of high-fired uranium oxide. And I
24 think we did, well, I guess that's just a carryover item,
25 right, Jim?

1 **DR. NETON:** Yes, we discussed this and I think we stated
2 our position, but we need to follow up with the
3 references that SC&A provided us to verify that what we
4 think is the case --

5 **MR. GRIFFON:** Right.

6 **DR. NETON:** -- fleshed out a little bit. SC&A has
7 posited that there may be super Class F uranium at Y-12
8 and these two references that are listed here are offered
9 in support of that position. And we need to look at that
10 and see if they really do (inaudible) to it or whether it
11 speaks really more to the type F.

12 **MR. GRIFFON:** But we did have a discussion on the effect
13 on the dose reconstruction, and I think for the most
14 part, I mean, correct me if I'm wrong, Jim, but for
15 cancers of interest here, lung cancers primarily, you
16 would assume Class S, and they would likely be
17 compensated under the current model anyway. Is that --

18 **DR. NETON:** That's right, anyone who had any, anyone who
19 was on a monitoring program, for example, missed dose
20 alone for lung under solubility Type S which would be
21 over the 50th percent mark or PC. Then you're left with
22 systemic organs, and if you assume that the materials
23 were very insoluble in the lung, that would tend to
24 reduce the doses to the systemic organs. So we believe
25 in using Type M, it would tend to maximize the non-lung

1 doses.

2 It doesn't, in our opinion, it does not have a real
3 practical significance on dose reconstruction outcomes
4 for the --

5 **MR. GRIFFON:** It may be less of a issue in terms of this
6 SEC evaluation than was originally thought, but that
7 action's still on the table for this one.

8 **DR. NETON:** We did agree that it is a generic issue
9 related to, it particularly affects a large number of
10 uranium (inaudible) to address it in some way.

11 **MS. MUNN:** It would be a good thing to put to bed.

12 **MR. GRIFFON:** Moving on unless there's any other
13 comments, Item 5, In Vivo Results and Coworker Models,
14 and the real question that was raised was why there
15 weren't in vivo results used in any way in the coworker
16 models. And I guess the primary point for our discussion
17 here is in the oldest part of my text. That, you know,
18 that there's no data prior to 1960, and therefore the
19 issue does not really affect the '50 to '57 petition at
20 hand.

21 And then from the other respect, I guess, the,
22 generally speaking, we had a discussion on the detection
23 limits of the in vivo versus urinalysis and the fact that
24 in most cases the in vivo will be used to sort of, maybe
25 to corroborate the dose, the intake applied but not

1 really used in terms of calculating the actual intake.

2 Is that correct, Jim?

3 **DR. NETON:** That's correct.

4 **DR. ZIEMER:** But there's no action item --

5 **MR. GRIFFON:** No actions under that because of the
6 highlighted section. That's why the action, it doesn't
7 mean that it's not still an entry --

8 Section 1-b then moves into the question of other
9 radionuclides, and the primary discussion here at Y-12,
10 well, there's several twists and turns to this discussion
11 actually. But the question of how the site profile
12 addresses exposures to other radionuclides other than
13 uranium and these include, but not, but I'm not going to
14 state that they're limited to, polonium, plutonium,
15 thorium, gallium, the transuranics from the recycled
16 uranium. I think that's some of the primary ones. And I
17 think -- oh, Uranium-233 also and possibly this radium
18 improgeny (phonetically) associated with radium and
19 radon, et cetera.

20 And then, I guess, this, you know, we had some
21 discussions about several things here. One is just,
22 apparently one of the big things that came out of this
23 meeting was that they have recovered a, ORAU, I guess,
24 has recovered or identified a CD or a set of data that's
25 been scanned onto a CD which has approximately 6,000

1 images, and it's a little unclear how much data that
2 actually is.

3 There might be some repetitious pages in there I
4 guess, but it does have some thorium, some of this data:
5 plutonium, thorium. I'm not exactly sure what isotopes
6 of interest might be on there, but it seems to be stuff
7 that might be related to the cyclotron, calutron
8 operations. Is that accurate, Jim? Maybe you can
9 describe that better.

10 **DR. NETON:** Yeah, I guess that's the best we can say
11 because neither of us has seen it. It's hard to say.

12 **MR. GRIFFON:** Right, so which leads us to one of the
13 action items which is that they need to follow up on
14 this, Action Item 2 actually, "Follow up on additional
15 data currently under classification review." And that's
16 something for us also to keep in mind is that this CD
17 rests down there at Y-12 under classification review.
18 And it's unclear, at least to me from our meeting, how
19 long that might take to be declassified, or if it can be
20 all declassified. So it's just something to keep in
21 mind.

22 **MR. GIBSON:** Jim, Mark? This is Mike.

23 **DR. ZIEMER:** Who's speaking?

24 **MR. GIBSON:** Mike.

25 **DR. ZIEMER:** Okay, Mike, go ahead.

1 **MR. GIBSON:** If this new data involving the plut (sic)
2 and the thorium if it cannot be declassified, obviously
3 it would require Q-clearance. How's this going to affect
4 the impact on the SEC evaluation?

5 **MR. GRIFFON:** I'll defer that question.

6 **DR. ZIEMER:** I don't know if Jim or Larry can answer
7 that. And also while they're thinking about that, in
8 declassification, does anyone know if things can be sort
9 of partially declassified? For example, can we learn the
10 identity of nuclides even though they may not be able to
11 tell us quantities?

12 **DR. NETON:** Yes, I think that's true.

13 **DR. ZIEMER:** So we can get at least partial information.

14 **DR. NETON:** Right, and maybe, like I say, it might not be
15 possible to get the job and the department codes for the
16 different bioassay results, that sort of thing, job
17 titles.

18 **MR. GRIFFON:** I think that's going to be the biggest,
19 knowing a little bit about Y-12, I think the biggest
20 concern is going to be linking those isotopes to certain
21 areas, the buildings or --

22 **MR. GIBSON:** Doesn't it -- this is Mike.

23 **MR. ELLIOTT:** I think you've already said enough on that,
24 but yes, the way we would proceed on this would be that
25 we'd have our Q cleared folks here look at it as well as

1 ORAU's Q cleared folks. We'd understand at that point
2 what is being held still as classified information after
3 the classifiers review.

4 We would make some decisions on whether or not we'd
5 be able to move forward in our SEC evaluation report or
6 would we need to call the Board's attention to what was
7 being held back. And perhaps you would have your
8 contractor or your classified or your cleared Board
9 members peruse this as we did for Iowa.

10 **MR. GIBSON:** Yeah, I guess the question I'm getting back
11 to is how do the petitioners, how are they going to have
12 basically due process? They're trying to get information
13 to prove their point on their SEC petition, if they, you
14 know, they obviously don't have a Q clearance.

15 **MR. ELLIOTT:** That certainly would be taken into
16 consideration as to whatever is being held back, and we'd
17 have to see what information is being retained as
18 classified and make a determination as to whether it
19 prohibits us from making a clear evaluation publicly
20 about the petition or not.

21 **MR. GRIFFON:** Yeah, I guess we've got to take this a step
22 at a time.

23 **DR. NETON:** I think it's a little premature to judge
24 because what Mark hasn't talked about yet is the CER or
25 X-10 database that has similar bioassay results in it to

1 the extent that that is a subset maybe or part of the
2 6,000, you know, pages. We don't know.

3 **MR. GRIFFON:** Right.

4 **MR. ELLIOTT:** But just to be clear on Mike's question, we
5 have to (inaudible) counsel's advice and led to
6 understand that there's no requirement on presenting an
7 evaluation report that all information that supports that
8 evaluation report has to be out in the open. We would
9 like it to be. We want it to be. We want to be as
10 transparent as possible. And then too, if it were to be
11 a finding and determination that we would, say, deny the
12 class, and by the way, there's information that is of a
13 classified nature that we can't speak about that enables
14 us to provide this recommendation and say that we can do
15 dose reconstructions, I think that's the worst case
16 scenario that we'd have to think about, and think about
17 how we could do that in as transparent as possible format
18 without divulging national security information.

19 **MR. GIBSON:** This is Mike again. I just want to get it
20 on the table. I know it may be, may or may not be an
21 issue in the future, but it's still something that I
22 think we need to keep that a consideration so we won't
23 possibly have a train wreck down the road.

24 **DR. WADE:** Certainly, certainly, Mike, you're correct.

25 **DR. MELIUS:** This is Jim Melius. Just to remind

1 everyone. I believe that we're owed an explanation of
2 that policy that came up at a, several meetings ago, and
3 the Board had requested further information and also a
4 briefing on that, and had suggested a discussion of how
5 we would implement that. And to date we've received
6 absolutely nothing.

7 **DR. ZIEMER:** You'll get a follow up on that.

8 **DR. MELIUS:** If it's basically going to become an issue
9 with this particular site, it's all the more reason that
10 we need to move ahead and have some discussion of this.

11 **DR. ZIEMER:** So noted, thanks.

12 Mark, you want to proceed?

13 **MR. GRIFFON:** Sure.

14 **MR. GIBSON:** Mark, one more thing. This is Mike. Is
15 there, the advice from counsel that you mentioned, will
16 that be made available to the Board?

17 **MR. ELLIOTT:** It has been made available to the Board. I
18 believe Liz Homoki spoke to this on the record at
19 previous meetings. There's nothing in the act and
20 nothing in our rules that prevent us from using
21 classified information to do dose reconstructions.

22 **DR. MELIUS:** Again, Jim Melius, a reminder, I don't
23 believe we've ever received the decision. All we've had
24 is your transmittal of that information to us through you
25 and through you, Larry, and through the counsel. We

1 asked for and have never received a copy of that --

2 **MS. HOMOKI-TITUS:** That's because there was no written
3 decision.

4 **DR. MELIUS:** Well, then we, all the more reason we need
5 more explanation and more time for discussion of this.

6 **DR. ZIEMER:** Okay, thank you, so noted.

7 Mark, you want to proceed?

8 **MR. GRIFFON:** Sure, we all done with that?

9 **DR. WADE:** We're not all done with it, but --

10 **MR. GRIFFON:** We're not all done with it obviously.

11 **DR. ZIEMER:** But the issue's been noted.

12 **MR. GRIFFON:** Yeah.

13 And Jim is right in pointing out the fourth action
14 item on that was that there's an X-10 Department 4,000
15 data and Department 4,000 is basically departments that
16 were X-10 operations that were housed in Y-12 facilities,
17 so it was X-10 work being done at the Y-12 facility.

18 And anybody, I guess the understanding is anybody
19 that was doing that kind of work was assigned to the
20 Department 4,000 series in the department number codes.
21 And they're going to look at this data as well to see
22 what kind of information is available there regarding
23 these other radionuclide exposures.

24 And I think that's, one, I guess the fifth item also
25 is, it was a little discussion on the recycled uranium

1 issue, and I think that currently the internal dose
2 section of the site profile uses a, basically a, the same
3 ratios used throughout for the transuranic (inaudible) or
4 (inaudible) exposure to the transuranics.

5 And there was some questions about whether the
6 material in any of the operations at Y-12 could the
7 transuranic materials concentrate in any form whereby
8 causing for greater ratios in some operations than in
9 other areas. And the feeling from NIOSH and ORAU, I
10 believe, is that it wasn't likely that there were any
11 operations, but they were going to follow up on that.

12 **DR. NETON:** Mark, I thought also that SC&A was going to
13 review the relevant section of the internal dosimetry
14 document.

15 **DR. ZIEMER:** Yes, this --

16 **MR. GRIFFON:** I think you're right, yeah.

17 **DR. ZIEMER:** -- is going to review --

18 **MR. GRIFFON:** That's right, I did say SC&A, okay.

19 **DR. NETON:** (Inaudible) had a version that had the
20 recycled uranium addressed. And we agreed at the working
21 group meeting that they would go back and look at it and
22 comment.

23 **MR. GRIFFON:** That's correct, it was a later version,
24 that's right. I'm sorry.

25 **DR. ZIEMER:** So John Mauro, you're still on?

1 **DR. MAURO:** Yes, I am, and I agree with that.

2 **DR. ZIEMER:** I'm not sure it's your understanding, too,
3 that, have you guys been made aware of this?

4 **DR. MAURO:** We are very much aware of it.

5 **DR. ZIEMER:** Thank you.

6 Okay.

7 **MR. GRIFFON:** Thanks for that correction, sorry.

8 Then Issue 1-c, let's see, this is the, I think this
9 relates to the -- I'm having trouble cross-walking myself
10 so I can only imagine others. Oh, this relates to the
11 choice of the 50th percentile intake rates, and there's
12 some discussion on how, when workers didn't have
13 monitoring, what distribution would be assigned. Would
14 it be the entire distribution, the mean of the
15 distribution, the 95th percentile?

16 And I think it varies depending on information about
17 the individual claimant. You know, what type of job,
18 what areas, et cetera. And I think that SC&A was just
19 looking for clarification on how that coworker model was
20 going to be used to assign individual doses. And some
21 action items out of that are listed one through four
22 there mostly which I believe is to clarify the department
23 and the job function, the job titles and job functions
24 listed in the databases that we received.

25 There also was a question raised during this, or a

1 comment came up during the discussion as to whether the
2 sampling involved the, the database covered the monitored
3 people likely to be most exposed. And I think there was
4 some discussion as to whether it was the most exposed
5 individuals or more likely it seems like it might have
6 been the most exposed departments were sampled from.

7 There's a comment that random sampling was sort of
8 done at departments of highest exposure potential. Bob
9 Presley actually as a site expert I think may have a
10 comment for us, and I think we just need to, I think that
11 needs to be better understood, in my opinion anyway. I
12 think that was one of our actions.

13 I think that's it. Any comments on those action --

14 **DR. ZIEMER:** I have one question. Is it, do we know at
15 this point how the monitored people were selected? Were
16 they selected at random from the highest exposed groups
17 as opposed to identifying the highest exposed
18 individuals? Is that what you're saying?

19 **MR. GRIFFON:** Yeah, that's what we, I think that's what
20 we need to follow up on.

21 **DR. ZIEMER:** Because a priori they wouldn't know who the
22 highest exposed individual was going to be; and
23 therefore, took the randomly assigned monitoring?

24 **DR. NETON:** That's action item number three under 1-c.

25 **MR. GRIFFON:** Yeah, I think we just need a little more

1 resolve.

2 **DR. ZIEMER:** -- how that was done.

3 **DR. NETON:** We thought we understood it pretty well, but
4 Bob Presley provided more information that indicated that
5 it might not have been quite on the mark. So we just
6 need to go back and see exactly what that --

7 **DR. ZIEMER:** Go ahead.

8 **MR. GRIFFON:** Items 1-d/e, I think they kind of got
9 merged together, in the last report. And this involves
10 the, I think it's the Type F uranium. Let me find it.
11 Yeah, Type F uranium exposures and the 48 hour delay in
12 sampling. And really the, I guess the two questions that
13 are outstanding is just if, there is apparently a policy
14 for a 48-hour delay in sampling after the exposure, sort
15 of the Monday morning policy, although it might have not
16 been a Monday morning all the time depending on what
17 shift people worked. If this 48-hour delay was in
18 practice, SC&A pointed out that the results could be
19 underestimating when you use, the coworker models could
20 be underestimating the intakes by a factor of, what, two
21 to four? Is that --

22 **DR. NETON:** Yeah, I think we decided a maximum of three
23 at this point.

24 **MR. GRIFFON:** Okay, maximum of three, yeah. So I think
25 that Dave Allen and Joyce Lipsztein, Joyce Lipsztein from

1 SC&A and Dave Allen from NIOSH are walking through this
2 issue to try to determine whether in fact that this is
3 the predominant pattern in the database, whether there
4 was usually 48-hour delays in the sampling. And if that
5 was the case, they're going to agree upon a method for
6 correcting the data that way.

7 Is that accurate, Jim?

8 **DR. NETON:** Yeah, I think so. I think I'd like to say
9 also if we can generally agree that this is not
10 necessarily an SEC showstopper, it really would result in
11 a, some sort of correction factor being applied to the
12 bioassay coworker model. But it is important to get this
13 issue ironed out and do an accurate dose reconstruction.

14 **MR. GRIFFON:** And the other thing, I guess, is the
15 question of Type F uranium exposures and whether there
16 were, I think in the current -- I might be wrong on this,
17 but there's just a question of whether Type F assumptions
18 are used in doing dose reconstructions for any, any
19 organs or if the worst case, non-metabolic always use
20 Type M.

21 **DR. NETON:** Mark, I think what happens here, and we
22 didn't talk about this last week, or is it this week?
23 Last week, was that if the 48-hour sampling issue can be
24 shown not to be a problem, in other words, if they did,
25 if we have enough data to demonstrate that there were

1 other sampling periods we could use, then the Type F
2 issue goes away because then I think what happens is Type
3 M and F become the bounding dose reconstructions.

4 **MR. GRIFFON:** Yeah, I did, yeah.

5 **DR. NETON:** Under the, if they exactly followed 48-hour
6 delays then Type F becomes a player. If we can show that
7 that's not really the case then the Type F issue kind of
8 goes away, but we're not there yet.

9 **MR. GRIFFON:** Right, but we're not there yet so that
10 action, I left that for that reason. Because one sort of
11 depends on the other; they're intertwined.

12 And Issue 1-f is the job descriptions of unmonitored
13 workers lacking is what the original issue is described
14 as. And mainly I believe this focused on the unmonitored
15 workers that SC&A had interviewed that didn't appear to
16 be, to fall into other job categories or departments.
17 And therefore, it was a question of how they were going
18 to be assigned from the coworker model I guess. I might
19 have this wrong. SC&A or NIOSH can clarify that issue.

20 **DR. MAURO:** This is John Mauro. I'll take a shot at it.
21 When, my understanding was when we run into those
22 circumstances where you're not quite sure whether the
23 person is unmonitored and you're having difficulty
24 judging what his responsibilities might have been, you
25 resort to the 95th percentile value for the distribution

1 for that particular internal emitter. So there is a
2 fallback position to deal with when you're confronted
3 with these types of uncertainties with regard to the job
4 responsibilities.

5 And I'd kick this over to Jim or to Joe if he's on
6 the line and see if I correctly characterized that.

7 **DR. NETON:** Yeah, I think you got it, John. This is Jim.
8 I think there's one additional issue here and that was
9 the exposure to the roving workers to the non-routine
10 isotopes like plutonium and such. How would you handle
11 that? And I think we discussed that if we did have
12 access to a sufficiently, I use the term robust, database
13 for plutonium and polonium and thorium, this issue would
14 tend to go away.

15 And as John characterized then it becomes the
16 decision do we use the 50th percentile or the 95th
17 percentile on those distributions for the, what we would
18 call, the roving worker? So this is some way tied in
19 with the answers to the other action items.

20 **MR. GRIFFON:** Well, that's why, and if you look at my
21 matrix, I tied it back to C actions and 1-b items one and
22 two and 1-c-1. I think they are overlapped in the 95th
23 percentile. You know, the how is the coworker dose
24 assigned question, and the other radionuclides question,
25 yes.

1 **DR. NETON:** I agree.

2 **MR. GRIFFON:** But there's no actions actually listed
3 under 1-f that are covered by the (inaudible).

4 **DR. NETON:** For a second there I thought we had it all
5 put to bed, but --

6 **MR. GIBSON:** Mike. I have a question here. We had, I
7 think, talked something about on these roving workers
8 that, you know, they may have been employed by Y-12 or to
9 X-10 or vice versa and stuff. And we'd talked about
10 trying to resolve whether or not they were going to be
11 included in the Y-12 site profile or SEC or the X-10 site
12 profile or if there would be an SEC. Did that, did I
13 miss that or did that get resolved?

14 **MR. GRIFFON:** I think Jim -- that's a good question
15 actually. I mean I think currently the way we've been
16 discussing is that for employees working in those Y-12
17 operations, we're covering them under this SEC petition
18 evaluation. Is that --

19 **DR. NETON:** That's correct. At one point NIOSH raised
20 the issue of ownership -- and I use that term loosely --
21 that the calutrons and cyclotrons at Y-12 were
22 transferred from Y-12 to X-10 in 1951, the question
23 became under what facility should those dose
24 reconstructions or those SEC petitions be evaluated. And
25 I think the answer we have at this point is if the

1 activities occurred on the Y-12 site, we're going to
2 address them as a Y-12 issue.

3 **MR. GRIFFON:** Right.

4 **DR. ZIEMER:** Where are you working at? Who's paying the
5 bill?

6 **DR. NETON:** Right, because there's issue. Ownership is
7 sort of a loose term when you talk about the fact it's
8 all owned by the Department of Energy. It's really more,
9 in my estimation, a bookkeeping function more than
10 anything.

11 **MR. PRESLEY:** This is Bob Presley. That is one hundred
12 percent correct.

13 **MR. GIBSON:** This is Mike. If I'm hearing you right, it
14 doesn't, it's not a matter of who they were employed by
15 as far as a contractor; it's where they were.

16 **MR. PRESLEY:** This is Bob Presley. That's correct. We
17 were all Union Carbide employees, and you either worked
18 at one of the three sites.

19 **DR. ZIEMER:** Okay, okay, Mike?

20 **MR. GIBSON:** Yes, so it doesn't really matter who managed
21 the operation. It was where they worked.

22 **DR. NETON:** If the work was performed on the Y-12, within
23 the confines of the Y-12 fence line, I guess is the way
24 I'd put it, we're going to consider that as a Y-12
25 exposure for SEC petition evaluation purposes.

1 **MR. ELLIOTT:** (Inaudible) based, the petition (inaudible)

2 **DR. ZIEMER:** And can I ask a related question? This is
3 Ziemer. Do they show up in the Department of Labor
4 records when the Department of Labor is determining
5 eligibility? Do they show up as a Y-12 person even
6 though they may have been an X-10 employee? Or is that
7 an issue that is handled separately. I mean, we may be
8 calling them that. I want to make sure Labor does when
9 they --

10 **DR. NETON:** That's a good question. I --

11 **DR. ZIEMER:** -- because Labor has to establish their
12 eligibility for the class, does it not?

13 (no response)

14 **DR. ZIEMER:** And if they show up as being an X-10
15 employee is Labor going to say, well, they weren't?

16 **MR. ELLIOTT:** They will have to verify the eligibility
17 for each member of the class based upon the time they
18 worked at that facility.

19 **DR. ZIEMER:** At that facility.

20 **MR. ELLIOTT:** They're going to have to develop that
21 aspect of a person's claim. If you were employed at X-
22 10, but you say here you, in the interview with NIOSH
23 that you worked at Y-12 on the calutron operation x
24 number of days or months, they need to establish that.

25 **MR. GRIFFON:** Sometimes it's not that easy. You're

1 right, Paul, good point there.

2 **DR. ZIEMER:** Go ahead, Mark.

3 **MR. GRIFFON:** External dose I think we're up to. Yes,
4 Issue 1, Data Validity and Coworker Models. Items 1, 2
5 and 3 actually are very similar to what we discussed
6 under internal dose which is the question of the validity
7 or maybe now the reliability. I haven't changed words
8 because the titles were there before, but we did, as I
9 said, we did discuss the difference.

10 And this again is looking at the Y-12 external data
11 which is also CER data which I also understand was
12 directly taken from the Y-12, a direct copy of the Y-12
13 database. So this question originally Item 3 in the
14 December 19th report was questioning whether the CER or
15 HERB electronic data files included all the Y-12 workers
16 or a subset. And by subset there, we're talking about
17 like a subset for research purposes, like all white males
18 before a certain time period or something like that. And
19 it's pretty clear it's not. It's just a direct copy of
20 the Y-12 database. That's our understanding now.

21 Okay, and the action items listed, as I said, Jim
22 has already responded I think to one of these. We asked
23 for a larger query on the overall database to go up to
24 1965. 'Fifty to '57 covers the petition at hand, but
25 part of the coworker model relies on later data that back

1 extrapolate earlier exposures. So to evaluate this, we
2 really need the later data as well. That's one action
3 item.

4 We also asked for the, the second one's very similar
5 actually in my mind. That might be a duplicate. The
6 third is a specific subset related to the coworker model.
7 The 147 monitored workers had to be in a separate file
8 for review.

9 And then Item 4 goes back to this. We asked NIOSH
10 to at least assess whether and how difficult it would be
11 to compare the database against hard copy records, data
12 cards, et cetera, to check the reliability of the data.

13 And then Item 5 is very similar also to the internal
14 dose section where we ask for, that they provide or
15 review quality control reports or procedures from the
16 early years as a reliability check. So I think that's it
17 for the --

18 **DR. ZIEMER:** Okay, go ahead, Mark. Something broke in
19 there.

20 **MR. GRIFFON:** All right, Item 4 under this was going back
21 to the original. On the December 19th report it says,
22 "NIOSH to rationalize how a 90 percent match between the
23 electronic record and the Y-12 monitoring records are
24 sufficient for dose reconstruction purposes in contrast
25 to an epidemiological purpose." And this is provided in

1 ORAU report 22. And I think that still is an outstanding
2 item that hasn't, we didn't get a report back from that
3 so that was just a kind of a carryover action item.

4 Is that correct, Jim?

5 **DR. NETON:** That's correct.

6 **MR. GRIFFON:** And Item 6, I don't even know if we
7 discussed it, but there was a carryover action item I
8 guess. Let's see, oh, the coworker models, I think it
9 actually came up in the earlier discussion and they,
10 NIOSH did agree that they would make available the
11 analytical files. These are Excel spreadsheets. I think
12 at least one of them is a crystal ball analysis-type
13 model for the coworker models versus any external dose.
14 And to my knowledge, I don't think SC&A had reviewed
15 these.

16 Is that correct, John?

17 **DR. MAURO:** That's correct. One of the decisions we came
18 to at the meeting was the protocol where you use the 1961
19 through '65 data to go back to pre-'61 involved a set of
20 data and also a set of statistical procedures in order to
21 reconstruct the pre-'61 data. And during the meeting we
22 agreed that SC&A would look at that protocol and those
23 data.

24 **DR. ZIEMER:** So there's an SC&A action item.

25 **DR. MAURO:** Yes, there is, and it's not listed here. But

1 at the meeting we did agree that we would have our
2 statistician take a look at that protocol. There was at
3 one time, you may recall, we did review that procedure,
4 and there was some discussion back and forth where there
5 was some general agreement. Yes, this procedure is
6 valid; we had certain questions regarding it. I don't
7 believe those issues yet have been engaged. So it's a
8 matter of having our statisticians talk to your
9 statisticians along with the dataset that that
10 statistical tool will be operating on.

11 **DR. NETON:** That's one good point you raised, John. I
12 think we did agree at the working group meeting that it
13 was appropriate for us to set up small technical
14 discussions among our various parties to iron out these
15 details and then report back with a, you know, transcript
16 or a summary of the work that transpired.

17 **DR. MAURO:** Yeah, I think that that item is missing from
18 the -- that we're looking at it. I think that item is
19 missing from the matrix, probably should --

20 **DR. NETON:** I think in some ways that's sort of been our
21 standard operating --

22 **DR. ZIEMER:** Right, it's kind of built in here, but in
23 some cases we may want to identify the specific SC&A
24 actions. This is directed toward the NIOSH actions, but
25 if we have a related SC&A action that we want to track,

1 we may want to make a note of it as well.

2 Thanks.

3 Okay, Mark. Still there? Mark? Hello. Anybody?

4 (no response)

5 **MS. MUNN:** I don't know that Mark is still there.

6 **MR. PRESLEY:** This is Bob Presley. I'm still here.

7 **DR. ZIEMER:** We're still on the phone call. We thought
8 we lost everybody.

9 **DR. ANDERSON:** Andy's still here, too.

10 **DR. ZIEMER:** Okay.

11 **MS. MUNN:** I have a question of John with respect to the
12 SC&A item we were just identifying as being an action
13 item. Where do you see that going on this compressed
14 matrix that we --

15 **MR. GRIFFON:** Hi, I just got cut off. I'm sorry.

16 **DR. ZIEMER:** We were just asking where the SC&A action
17 would be in the matrix. Is it 1-a-6?

18 **DR. MAURO:** It's 1-a-6 in my mind, yes.

19 **MS. MUNN:** Okay.

20 **DR. ZIEMER:** That's where I put it.

21 Okay, Mark, we're ready.

22 **MR. GRIFFON:** What was that SC&A action?

23 **DR. ZIEMER:** One-a-6, we just talked, John Mauro had
24 mentioned that they were doing following up on that as
25 well.

1 **MR. GRIFFON:** Oh, going to review the spreadsheet?

2 **DR. ZIEMER:** And we're going to add that in our matrix to
3 make sure we track it even though the focus is on the
4 NIOSH actions.

5 **MR. GRIFFON:** Right, right.

6 **DR. ZIEMER:** But there is an SC&A action involved there
7 as well.

8 **MR. GRIFFON:** Sure. I don't know what happened. I got
9 cut off there.

10 **MS. MUNN:** We missed you.

11 **DR. ZIEMER:** I think we're ready for 2-a, Mark.

12 **MR. GRIFFON:** All right, Issue 2-a, Badging of Maximally
13 Exposed -- I think again this is a question of a coworker
14 model and how it's going to be applied I think. Is that
15 accurate?

16 **DR. ZIEMER:** It overlaps to what we talked about before.

17 **MR. GRIFFON:** Right, except for the internal versus
18 external.

19 **DR. NETON:** It's just the external dosimetry version of
20 the internal --

21 **MR. GRIFFON:** Yeah, right.

22 **MS. MUNN:** Yeah.

23 **MR. GRIFFON:** And I don't know if in this case --

24 **DR. NETON:** There was this criticality issue that Kathy
25 DeMers raised, I remember, and we've gone back, I haven't

1 written this up yet, but we determined that that area was
2 actually clean before they went in there, and the uranium
3 leaked by a valve that was supposed to be shut.

4 **DR. ZIEMER:** Are you talking about the June '58
5 criticality?

6 **DR. NETON:** Right.

7 **DR. ZIEMER:** Well, you know, there was an extensive
8 mockup to assign dosimetry to those workers.

9 **DR. NETON:** We have a dosimetry, and we've actually
10 reconstructed some doses for those cases. But the issue
11 raised by SC&A was that how could we argue that people
12 were, the highest exposed individuals were badged when
13 people that were working in the area where a criticality
14 occurred did not have badges?

15 And the answer, I think, is that that area was not
16 supposed to be contaminated, that the tanks that they
17 were working on had already been cleaned at one point.
18 And unbeknownst to the workers the valve had been open
19 that leaked enriched uranium into the tank. And then
20 when they poured it in the drum, it became critical.

21 So it doesn't defend whether those workers should
22 have been monitored or not, but it does not negate the
23 policy we think was in place which was that people who
24 were the highest exposed workers were thought to be the
25 highest exposed workers were monitored. It's something

1 we need to write up and demonstrate.

2 **DR. ZIEMER:** They probably had blood sodium from them as
3 well.

4 **DR. NETON:** I think everyone that got a security badge
5 had (unintelligible) --

6 **DR. ZIEMER:** Yes.

7 **DR. NETON:** Which is, I think, how they triaged those
8 workers. But I think Kathy agreed that it wasn't the
9 fact that a criticality occurred. It was that they were
10 working with uranium-bearing materials, and they weren't
11 badged. And it's up to NIOSH to demonstrate that we
12 don't believe at that time there was sufficient external
13 exposure in that area to have been badged under their
14 typical operating procedure.

15 **MR. GRIFFON:** That's actually not why I paused, but
16 that's such a good point, Jim. The reason I paused was I
17 was wondering whether a similar question that Bob raised
18 on the internal monitoring, I don't know if it is or is
19 not applicable here. You know, was there a question as
20 to whether there is, I think you, I don't know if it's
21 been determined whether the maximally exposed, all
22 maximally exposed individuals were monitored or there
23 were, you know, you certainly have heard of cases where
24 the monitor, certain individuals from work groups have
25 assigned the dose to all of the work group. But I don't

1 know if that --

2 **DR. NETON:** If you remember, Mark, ORAU's done a lot more
3 work in this area, and we're much more comfortable saying
4 that we believe the workers who should have been badged,
5 who had the highest exposures, were, and we don't believe
6 that it was cohort badging at all. In the internal area
7 I think we need to do a little bit more work.

8 **MR. GRIFFON:** That's what I thought. That's the only
9 reason I paused when I saw that badging of maximally
10 exposed, and I wasn't sure if that had been cleared up or
11 not cleared up or --

12 **DR. NETON:** Well, in my mind it is, but then again, I
13 can't (unintelligible).

14 **MS. MUNN:** This is another one of those instances in
15 which the issue that Mike raised earlier comes up,
16 whether it was plausible or whether it was feasible. It
17 was probably feasible to have everybody monitored, but it
18 wasn't plausible to expect that these people would be
19 exposed. There ought to be with any luck at all enough
20 evidence from the post-accident scenario and information
21 to be able to make that decision clear I would think.

22 **MR. GRIFFON:** Oh, yeah, I'm not necessarily talking about
23 that action anymore, but I was talking in general in the
24 database.

25 **MS. MUNN:** Yeah.

1 **MR. GRIFFON:** Anyhow, I think we'll leave that, I mean, I
2 think we can leave that with those two actions unless
3 there's any input on that.

4 **DR. ZIEMER:** Mark, does that complete this?

5 **MR. GRIFFON:** No, there's a couple more in there.

6 **DR. ZIEMER:** I'm sorry. We have 2-b.

7 **MR. GRIFFON:** Two-b is Coworker Dose Assessment. The
8 main discussion that we had here was about TIB-51 which I
9 think is related to neutron exposures and whether the NTA
10 film needs to be corrected or can monitor for neutron
11 exposures of the lower neutron energy levels. And also,
12 I guess, the characterization question. And I think this
13 has been just recently provided to SC&A. So SC&A is
14 going to review TIB-51, provide comments to NIOSH, and
15 then have a discussion of that. And that can be prior to
16 a work group meeting or a Board meeting, in between,
17 whatever.

18 And the second issue is more or less a carryover
19 issue which is on the skin extremity dose reconstruction
20 procedures which I don't think were really addressed in
21 the original site profile.

22 Is that correct, Jim?

23 **DR. NETON:** That's correct.

24 **MR. GRIFFON:** So those are under development, and I also
25 would assume that once they are developed, SC&A will

1 review those.

2 And finally, the last thing there, I just wanted to
3 capture the fact, and it didn't really, these notes are
4 organized in the order that they were from the December
5 19th meeting. These example cases were actually presented
6 in the middle of the internal and external discussions in
7 this meeting. But I just tore out the back of these
8 notes.

9 We did, Dave Allen primarily, although I don't know
10 if other NIOSH staff members were involved in the
11 development of these cases, but Dave Allen presented 11
12 cases. And these were adjudicated cases.

13 Is that correct, Jim?

14 **DR. NETON:** I believe so.

15 **MR. GRIFFON:** Or are these completed DR cases? And they
16 were for the most -- well, let's see, six lung cancers.
17 We didn't go through every one of the lung cancers
18 obviously. Dave did one or two of those to demonstrate
19 sort of how the coworker model was used at least in a few
20 of them. And I think the upshot of a lot of this was
21 that I think we, as the work group moves ahead, and as we
22 get more information back from NIOSH, I think we need to
23 outline parameters for other cases that we'd like to see
24 dose reconstructions performed on.

25 And maybe not entire dose reconstructions, but sort

1 of proof of principle. How they're going to go about a
2 dose reconstruction for, you know, a person who worked in
3 the calutron or, you know. I'm not sure what the
4 parameters are yet, but these cases that we looked at
5 looked fairly straightforward, and we may want to choose
6 other cases that better demonstrate that they can do it
7 for all members of the, they can complete dose
8 reconstructions for all members of the class within the
9 petition.

10 **DR. NETON:** Mark, this is Jim. I think the intent of
11 those cases was that we would demonstrate the application
12 of the coworker data for uranium workers only.

13 **MR. GRIFFON:** Right.

14 **DR. NETON:** And we tried to throw in the recycled uranium
15 component and demonstrate the plausibility of doing these
16 dose reconstructions with either the full distribution or
17 the 95th percentile. And I think the numbers look fairly
18 reasonable, but I agree. There's a lot of other examples
19 that would be necessary to flesh out all the other subtle
20 exposure types that may have occurred here.

21 **MR. GRIFFON:** And at this point, I mean, it wasn't
22 criticism necessarily. Just where we are right now I
23 think that we can't really select other types of cases
24 until we know a little more about these other
25 radionuclides of interest and it's a work in progress.

1 **MS. MUNN:** I think it's also worthwhile to note -- this
2 is Wanda -- that nine of those 11 cases were compensable.

3 **MR. GRIFFON:** Right.

4 **DR. ZIEMER:** Okay, Mark, thank you.

5 Let me ask if there's any further questions or
6 comment on the report?

7 (no response)

8 **DR. ZIEMER:** We'll then be expecting an update on this at
9 our face to face meeting in a couple of weeks, and Lew
10 wants to address this relative to the petition.

11 Lew.

12 **DR. WADE:** Let me just walk through this issue in some
13 detail so that we're all on the same page. And I
14 apologize if I add confusion to an already confusing
15 issue. It's certainly not my purpose.

16 About a month ago it would have been my hope that
17 following the working group meetings that Mark chaired
18 and then following this Board meeting, we would have
19 reached resolution on the pertinent issues of the Y-12
20 site profile that impacted the Y-12 SEC petition. This
21 is for the years '50 to '57.

22 After this meeting I was assuming that NIOSH would
23 issue an addendum to its evaluation report, and then we
24 would go to the meeting in Oak Ridge. And the Board
25 would make a recommendation on that SEC petition. I

1 confess now that that was a naïve belief on my part.
2 What we've now learned is that there is still more work
3 to do to reach intellectual closure on the site profile
4 as it impacts the SEC petition.

5 So what I see happening now is that between now and
6 the meeting at the end of January, NIOSH and SC&A will be
7 working hard to advance according to this resolution
8 matrix. At the meeting at the end of January in Oak
9 Ridge, the Board will have a robust discussion of the
10 technical issues related to the site profile. The Board
11 will then have a robust discussion of Dr. Melius' thought
12 piece, "Report of the Working Group on Special Exposure
13 Cohort Petition Review", we'll hear from the public on
14 that.

15 And then with these two discussions behind us, the
16 Board will then discuss how it would like to proceed from
17 a time point of view towards the issue of closing on the
18 site profile and the SEC petition. And you'll be seeing
19 a modified "Federal Register" notice for the meeting at
20 the end of January that will reflect the things that I've
21 just talked about.

22 I am sorry for the confusion that was brought about
23 over this issue, but I do think it's terribly important
24 that we try and reach closure on the issues related to
25 the site profile before the Board is presented with an

1 addended evaluation report by NIOSH. So that's where we
2 stand now. I see nothing but the highest quality work go
3 into this. Sometimes that work takes time though.

4 **DR. ZIEMER:** Thanks, Lew, and thanks to Mark and Wanda
5 and Mike and Robert for all their work on this Y-12 site
6 profile, and we will then take this up again at the next
7 meeting.

8 **DR. WADE:** And one final word to the new Board members,
9 it looks like the Y-12 SEC petition will really happen on
10 your watch. So I'm glad that you're here hearing these
11 discussions.

UPDATE ON SCIENCE ISSUE: LYMPHOMA DOSE
RECONSTRUCTION TARGET ORGAN SELECTION
DR. JIM NETON

12 **DR. ZIEMER:** We have another item now on our agenda, and
13 that is an update on science issues and more specifically
14 the issue of the lymphoma dose reconstruction target
15 organ selection. And Board members, you should have
16 received now -- well, we had a presentation actually in a
17 meeting last year on this issue.

18 And then you should have received recently from
19 Larry the proposed change in the IREP program. Help me
20 if I -- it is a proposed change in the IREP program. No,
21 it's not a change in the program. It doesn't change the
22 program per se. It does affect the outcome of the IREP
23 calculations.

24 And you have that together with an evaluation, I

1 think outside, independent evaluations that were provided
2 by Dr. Crowther and Keith Eckerman. And make sure you
3 have those, and then there's the, just a summary -- I
4 think this came from you, Larry -- called "Summary of
5 NIOSH's Re-examination of Lymphoma Target Organ
6 Selection". So those are the pieces of documentation
7 that you should have. And Larry, he's going to lead the
8 discussion. I know Russ is here today, Russ Henshaw.

9 **DR. NETON:** The record should show that we have Brandt
10 Ulsh and Russ Henshaw joining us for this discussion, and
11 they're from NIOSH.

12 **DR. ZIEMER:** And Brandt's going to lead us or Russ.

13 **DR. NETON:** I will --

14 **DR. ZIEMER:** Jim will kick it off and the others will
15 support.

16 **DR. NETON:** I think there's not much more to add here
17 other than to refresh Board members' recollections of
18 what we proposed at the Board meeting in Knoxville.

19 And that was that we had come to conclusions looking
20 at the scientific evidence related to lymphomas that our
21 target organ selection for non-Hodgkins lymphoma in
22 particular was not scientifically correct. We went to
23 some lengths to get expert opinions from a Board-
24 certified hematologist as well as a expert health
25 physicist in internal dosimetry to assist us.

1 The end result of that analysis revealed to us that
2 for internal dose in particular, we were previously using
3 what we would call the highest non-metabolic organ, that
4 is, we would calculate the dose to all the organs and
5 select the organ that had the highest dose among the ones
6 that weren't explicitly modeled for our metabolic model
7 and assign that for lymphomas.

8 We are proposing at this point to use, particularly
9 for internal exposures, the tracheal-bronchial lymph
10 nodes, thoracic lymph nodes, for reconstructing internal
11 dose. This would in effect raise the internal doses to a
12 large number of previously processed cases, with non-
13 Hodgkins lymphoma cases.

14 And we propose to go back and re-evaluate those 500.
15 In addition to that there are 500 cases being held
16 pending until the decision is made so that we can finish
17 and complete those dose reconstructions. In our mind the
18 internal dose reconstruction is the big change here. I
19 mean, we're talking in the order of magnitude of more
20 change in the internal dose for those organs, those
21 lymphomas.

22 The external part of the organ is changed slightly
23 but is not significantly. We're proposing to you the
24 lung as a surrogate for dose to the lymphocytes for
25 external dose reconstruction. And that's not a huge

1 change. These are percentage-type changes as opposed to
2 the order of magnitude changes that occurred in the major
3 target organs for internal dose.

4 If I haven't confused anybody, I guess I can answer
5 questions on that.

6 **DR. ZIEMER:** This is a proposal that requires Board
7 action. It is not mandatory that the action be taken
8 here today, but if the Board is comfortable taking action
9 today, we can certainly do that.

10 Let me open the floor for discussion. Basically,
11 this comes as a recommendation from staff asking for the
12 Board to approve this change in the methodology.

13 Board members, any questions or comments?

14 **MS. MUNN:** This is Wanda. It appears to me that as
15 thorough a job of garnering expert counsel as possible
16 has been done, and the draft dated 1/6/06 that's going to
17 the "Congressional Record" appears to be very
18 straightforward and comprehensive in my view. I'm
19 willing to accept this as a reasonable and accurate
20 motion, action for NIOSH to take.

21 **DR. ZIEMER:** Thank you.

22 Other comments, pro or con?

23 **DR. DeHART:** This is Roy. Returning back to when we had
24 the presentation, I think there were a number of us that
25 are somewhat familiar with the way pathological reporting

1 is done that we were assuming something that was entirely
2 inappropriate for circulating lymphocyte cancers and so
3 on that the biopsy site would be the site identified.
4 And I think this makes total sense to return to what is
5 physiological.

6 **DR. ZIEMER:** Okay, thank you, Roy.

7 **DR. ROESSLER:** This is Gen. I have a question.

8 **DR. ZIEMER:** Gen, go ahead.

9 **DR. ROESSLER:** A lot of this decision is based on the
10 work of Dr. Mark Crowther. And I've looked at his
11 credentials, and they look very good. But my question is
12 when someone is selected to make an evaluation like this,
13 who is involved in the decision making? And at this
14 point does everyone pretty much agree that he is the
15 expert in this area?

16 **DR. ZIEMER:** Who can answer that for --

17 **MR. ELLIOTT:** Well, I'll take a stab at this, Gen, and
18 ask Jim and Brandt to fill in the cracks that I might
19 leave.

20 Certainly, when there is a scientific element in
21 dose reconstruction that's being called to question, the
22 staff bring that forward to Jim Neton's attention and to
23 my attention. We ask them who are the external experts
24 that we could seek out for consultation on an issue. So
25 we ask them to identify those folks.

1 Once we have a pool of viable experts assembled, we
2 approach individuals in that pool and seek out their
3 willingness to provide this type of consultation as you
4 see from Dr. Eckerman and Dr. Crowther. It is not an
5 exhaustive search for expertise, so I want to make that
6 clear. And it is narrow in its -- it's shallow in the
7 pool as far as the folks that are known or recognized by
8 internal staff or other people that we talk to about the
9 issue.

10 **DR. ZIEMER:** Gen, does that answer your question?

11 **DR. ROESSLER:** Yes, I think so. I know the other expert,
12 Keith Eckerman, is certainly as recognized by health
13 physicists, and in my view everybody would agree that he
14 is the expert there. I just wanted a little more
15 discussion on the other to make sure there was total
16 agreement.

17 **DR. ZIEMER:** Okay.

18 **MR. ELLIOTT:** I would also offer this, that we are
19 publishing in the "Federal Register" a notice that we're
20 proposing this change. You see that in this 1/6/06 draft
21 for the "Federal Register". We hope that that will be
22 presented in the "Federal Register" tomorrow. I'm
23 awaiting a call to confirm its publication, but we
24 believe it will be there tomorrow.

25 It also calls for the public comment period will be

1 open for 15 days, and we would hope and welcome that the
2 Board could make a, come to a decision on this today and
3 then 15 days hence, the publication of the "Federal
4 Register" notice, we would be prepared to consider any
5 public comment and move forward in accordance.

6 **DR. ZIEMER:** Thank you.

7 Okay, other comments or questions?

8 **DR. MELIUS:** This is Jim Melius. I just am sort of
9 trying to understand what we're approving. What we're
10 really approving, if I understand it correctly, is the
11 document called "Summary of NIOSH's Re-examination
12 Lymphoma Target Organ Selection" dated October 31st, 2005?
13 That is the detail, I mean --

14 **DR. NETON:** What we're asking for advice from the Board
15 is the technical information bulletin that was issued is,
16 in effect, is that is the change in our approach for
17 target organ selection scientifically reasonable?

18 **MR. ELLIOTT:** And so we're asking the Board for
19 consensus, comment or recommendation regarding that
20 proposed change. And we've tried to spell out the
21 proposed change and show you how it would look in our
22 technical information bulletin on this topic as well as
23 provide the Board with a summary statement of the issue
24 along with outside expert consultation remarks, Jim
25 Neton's PowerPoint presentation that was given to the

1 Board back in October. I think that's the extent of all
2 the documentation we've provided.

3 **DR. ZIEMER:** I think, Jim, the official document is OCAS
4 TIB-012.

5 **DR. NETON:** That would be revision one.

6 **DR. ZIEMER:** Rev. 1.

7 **DR. NETON:** It would help you garner what's changing in
8 there though. We've provided you a summary of what the
9 relevant changes would be and a rationale for such
10 changes. So they're sort of two companion pieces, but
11 ultimately the change would be reflected in this TIB-012
12 as to how would we go about doing dose reconstructions
13 and re-doing them as well.

14 **DR. ZIEMER:** Procedurally, I'm going to ask the question,
15 maybe I'll address it to Lew, if the Board makes a
16 recommendation, and this will be published in the
17 "Federal Register", and you'll get comments, and you'll
18 have to take those into consideration as well, the Board
19 would be another piece of that?

20 **MR. ELLIOTT:** Yes.

21 **DR. ZIEMER:** Does the Board's recommendation in this case
22 need to go to the Secretary or is it simply a piece of
23 input basically to the program?

24 **MR. ELLIOTT:** Your recommendation can come to the
25 program. You should advise the Secretary though I think.

1 The (inaudible) calls for public comments to be sent to
2 my attention. But you advise the Secretary so I think
3 you'd want to --

4 **DR. ZIEMER:** We can at least inform him.

5 **DR. WADE:** Yes, you can do both.

6 **DR. ZIEMER:** Okay, Board members, you have the materials;
7 you've heard this discussion. Does anyone wish to make a
8 motion?

9 **MS. MUNN:** This is Wanda. I'll be glad to make the
10 motion that the Board accept the proposed changes to OCAS
11 TIB-012 as shown in rev. 1 and as condensed in the
12 information being presented in the "Federal Register"
13 during this coming week.

14 **DR. ZIEMER:** Okay, you've heard the motion. I think the
15 initial wording was the Board accepts or --

16 **DR. WADE:** The Board accepts the recommendation.

17 **MS. MUNN:** Accepts the recommendation.

18 **DR. ZIEMER:** I'm not sure it's a recommendation to the
19 Board per se. It may be that we support the proposal,
20 Wanda, if that's agreeable.

21 **MS. MUNN:** Accept the proposed changes to OCAS --

22 **DR. ZIEMER:** Is there a second to that motion?

23 **MR. PRESLEY:** Bob Presley, I'll second it.

24 **DR. ZIEMER:** Okay, I heard a couple seconds. Presley is
25 identified.

1 Discussion?

2 **MR. GIBSON:** This is Mike. I have a couple of questions.

3 **DR. ZIEMER:** Sure.

4 **MR. GIBSON:** NIOSH has said that if we adopt this they're
5 willing to go back and re-look at the claims that have
6 been denied if I understand them right, correct?

7 **DR. ZIEMER:** That's correct.

8 **MR. ELLIOTT:** That's correct, Mike.

9 **MR. GIBSON:** Does DOE, does DOL also put on record as
10 stating that they would re-adjudicate these claims or re-
11 look at these claims also?

12 **MR. ELLIOTT:** So they're aware of this proposed change
13 and through the various program evaluation reviews that
14 we do here. That's a term that we use, program
15 evaluation review. When we make a change in how we do
16 dose reconstruction or in our site profile, a technical
17 information bulletin, there is an effort to go back and
18 look at all cases that have been done under the previous
19 version of that document, whatever document it may have
20 been, and examine whether or not that change would have
21 resulted in the claim being compensable. So we always
22 look at those claims that are not, that have been done
23 and were found not to be compensable.

24 **DR. ZIEMER:** In essence, you're saying that Labor is
25 obligated to --

1 **MR. ELLIOTT:** Yes, they have an obligation.

2 **DR. NETON:** This is provided for in our regulation for
3 dose reconstruction that if we identify a case where we
4 believe that the new information would change
5 compensability, we notify both the claimant and the
6 Department of Labor of that.

7 **DR. ZIEMER:** Yeah, Mike does that answer the question?

8 **MR. GIBSON:** I guess what I'm saying is if a claim was,
9 maybe NIOSH recommended the claim be compensated and
10 DOE/DOL denied this claim, and you go back and re-do this
11 claim again, is DOE/DOL prepared to look at the claim
12 again with an open mind?

13 **MR. ELLIOTT:** Well, I think there's a little confusion in
14 your statement, Mike. The claims that we have done thus
15 far would be re-examined by us. And if we identify a
16 dose reconstruction we've already done, and it was a non-
17 compensable dose reconstruction, and this change made it
18 cross the 50 percent line and become compensable, we
19 would notify the claimant and we'd notify DOL. And DOL
20 would pick up the revised dose reconstruction we'd
21 provide to them and produce a probability of causation
22 greater than 50 percent and pay the individual.

23 **DR. ZIEMER:** And they would be obligated to do that?

24 **MR. ELLIOTT:** Yes.

25 **DR. ZIEMER:** Okay, Mike?

1 (no response)

2 **DR. MELIUS:** This is Jim Melius. I have a sort of a
3 procedural question. I mean, you have a very small
4 amount of information on the medical condition of the
5 claimants. Isn't most of that information handled by the
6 Department of Labor?

7 **MR. ELLIOTT:** Yes, it is. They're the responsible party
8 for determining eligibility of the claim, and that's one
9 of the eligibility points of determination that the
10 person has cancer. And they base that determination on
11 the, you know, some very sparse information such as a
12 physician's report of diagnosis to a death certificate.

13 **DR. MELIUS:** Right, and I'm just saying that implementing
14 this policy I think is going to be difficult without, I
15 don't know if we're going to have adequate information
16 for categorizing people here.

17 **DR. ZIEMER:** I think that's probably the case in some
18 cases.

19 **MR. ELLIOTT:** I think that's the purpose of the change
20 that we're proposing. It's going to make it easier.

21 **DR. NETON:** It's going to make it easier, and Brandt
22 should speak to that.

23 **DR. ULSH:** Yeah, Jim, in cases where we don't have the
24 ICD code down to the fifth digit, and that's probably a
25 large number of cases, we have in place in this revised

1 OTIB, I'm sorry, this revised OCAS TIB, procedures for
2 handling that. And that is we default to the most
3 claimant-favorable choice.

4 **DR. NETON:** But also for non-Hodgkins lymphoma the fact
5 of diagnosis no longer is relevant. They will
6 automatically default for internal exposures to the lymph
7 nodes of the thoracic lymph nodes. Prior to this we have
8 been requiring the Department of Labor to provide us as
9 definitively as possible the site of diagnosis of a non-
10 Hodgkins lymphoma which we now believe to be not relevant
11 to the etiology of the illness.

12 **DR. MELIUS:** Correct, okay.

13 **DR. ZIEMER:** Because it should be an improvement if any.

14 **MR. ELLIOTT:** This aids us in doing our work, and your
15 point is well taken, Dr. Melius, that in many of these
16 diagnoses of cancers do not come forward with a clinical
17 pathology that would allow us to reconstruct right down
18 to the cellular level, but this is an attempt to get
19 around that and to be more, to acknowledge that and to
20 be, give the benefit of the doubt to the claimant.

21 **DR. ZIEMER:** Okay, additional comments or questions?

22 (no response)

23 **DR. ZIEMER:** Board members, are you ready to vote on this
24 motion to, Lew Wade will read the motion back for you
25 here.

1 Lew.

2 **DR. WADE:** The Board supports the NIOSH proposal
3 contained in TIB-012, rev. 1 and summarized in the draft
4 "Federal Register" notice dated 1/6/06, concerning a new
5 process for selecting dose reconstruction target organs
6 for energy employees with a lymphoma cancer.

7 **DR. ZIEMER:** Are you ready to vote then on the motion?

8 **MS. MUNN:** It sounds a lot better than what I --

9 **DR. ZIEMER:** I think he's just quoting you there, Wanda.

10 **MS. MUNN:** That's good.

11 **DR. ZIEMER:** Okay, we'll vote by roll call.

12 Lew, if you'll give us a roll call, we'll vote.

13 **DR. WADE:** Just give me a minute. Mr. Presley.

14 **MR. PRESLEY:** Yes.

15 **DR. WADE:** Mr. Gibson.

16 **MR. GIBSON:** Yes.

17 **DR. WADE:** Gen Roessler.

18 **DR. ROESSLER:** Yes.

19 **DR. WADE:** Dr. DeHart.

20 **DR. DeHART:** Yes.

21 **DR. WADE:** Wanda Munn.

22 **MS. MUNN:** Yes.

23 **DR. WADE:** Dr. Anderson.

24 **DR. ANDERSON:** Yes.

25 **DR. WADE:** Dr. Melius.

1 **DR. MELIUS:** Yes.

2 **DR. WADE:** Mark Griffon.

3 **MR. GRIFFON:** Yes.

4 **DR. WADE:** Dr. Ziemer.

5 **DR. ZIEMER:** Yes.

6 **DR. WADE:** And I assume that Leon Owens and Richard
7 Espinosa are not with us?

8 (no response)

9 **DR. ZIEMER:** Apparently not.

10 **DR. WADE:** Okay, then the motion is carried.

11 **DR. ZIEMER:** Motion carries. Thank you very much.

12 **MR. ELLIOTT:** Thank you. We appreciate this and the
13 1,000 plus claimants that will benefit from this decision
14 I think will be appreciative as well.

15 **WRAP UP, DR. PAUL ZIEMER, CHAIR**

16 **DR. ZIEMER:** Okay, we're ready to wrap up. I think that
17 we've efficiently covered the business for the day. I
18 thank everybody for their time and input.

19 Lew, do you have any final instructions for us in
20 preparation for our next meeting?

21 **DR. WADE:** No, just rest, particularly Mark. But I think
22 this worked well. I mean, I was worried about, you know,
23 multiple issues, but I think we did our business well.
24 We have to learn a little bit better how to practice the
25 etiquette of discussing issues in a public forum and make

1 sure that other people have our materials. But I mean, I
2 thank you for your preparation, and I thank you for your
3 patience through this call. And I look forward to seeing
4 you all in Oak Ridge.

5 **MR. GRIFFON:** Can I ask one final thing, Paul?

6 **DR. ZIEMER:** You bet.

7 **MR. GRIFFON:** Do we have an agenda for the meeting yet?
8 We might have one. I just might not have looked at it.

9 **DR. ZIEMER:** The only thing you have is Lew sent us a
10 kind of a narrative memo earlier which outlined the
11 business that would come before us at the Oak Ridge
12 meeting. You can use that as a starting point. We know
13 now that we will not be acting specifically on the Oak
14 Ridge SEC petition, but we'll be focusing again on the
15 site profile.

16 **MR. GRIFFON:** Well, we have an opportunity to weigh in on
17 the agenda items before it's published?

18 **DR. ZIEMER:** Yeah, we can do that.

19 And Lew, we can ask for input.

20 **DR. WADE:** What I'll try and do, Mark, is to draft an
21 agenda based upon what's happened here today and get it
22 to the Board by the end of this week and wouldn't
23 finalize that probably until the end of the following
24 week.

25 **MR. GRIFFON:** Maybe also a subcommittee agenda because my

1 feeling is that if we're not going to take up the Y-12
2 SEC petition evaluation, we may want to focus on some of
3 the remaining tasks, the case reviews, the procedures
4 reviews, et cetera.

5 **MS. MUNN:** Procedure reviews, I'm concerned about that
6 one.

7 **DR. ZIEMER:** Yes, and also, Jim, depending on where we
8 are on the SEC procedures document, you may want to have
9 your subcommittee meet as well, but you can determine
10 that after you see what input you get.

11 **DR. WADE:** Yeah, Jim's is a working group.

12 **DR. ZIEMER:** Or working group I meant.

13 **DR. ANDERSON:** And we need the travel information as
14 well?

15 **DR. ZIEMER:** Yes.

16 **DR. ANDERSON:** Where to call for hotel and things like
17 that?

18 **DR. ZIEMER:** Right.

19 **DR. WADE:** Yes.

20 **DR. ROESSLER:** I'd like to ask a question of Bob Presley.
21 Is there transportation from the Knoxville airport to Oak
22 Ridge?

23 **MR. PRESLEY:** Yes, there is. It is hard to get. I would
24 suggest --

25 **DR. ZIEMER:** Give us your phone number, Bob.

1 **MR. PRESLEY:** Yeah, that we can do. I would suggest that
2 NIOSH let people try to come in, you know, when they can
3 and pick some cars up because the one problem, too, that
4 you're going to have is once you get into Oak Ridge, is
5 you're going to just about have to go somewhere to eat.
6 The restaurant in the hotel is all right.

7 **DR. ZIEMER:** This is on the public record now, Bob. Your
8 opportunity.

9 **DR. ROESSLER:** What hotel are we at?

10 **MR. PRESLEY:** You're at the Doubletree which is the old
11 Garden Plaza I understand.

12 **DR. ZIEMER:** Some of you will need to rent cars probably.
13 Is there any other thing that needs to come before us or
14 anything for the good of the order?

15 (no response)

16 **DR. ZIEMER:** If not, we stand adjourned. Thank you very
17 much.

18 (Whereupon, the meeting was adjourned at 2:40
19 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 9, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 9th day of February, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**