

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

MEETING 40

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOL. I
DAY ONE

The verbatim transcript of the 40th
Meeting of the Advisory Board on Radiation and
Worker Health held at the Westin Casuarina, Las
Vegas, Nevada, on Sept. 19, 2006.

C O N T E N T S

Sept. 19, 2006

WELCOME AND OPENING COMMENTS	8
DR. PAUL ZIEMER, CHAIR	
DR. LEWIS WADE, DESIGNATED FEDERAL OFFICIAL	
CHARTER FOR SUBCOMMITTEE	11
DR. PAUL ZIEMER, CHAIR	
NIOSH PROGRAM UPDATE	24
MR. LARRY ELLIOTT, NIOSH, OCAS	
DOL PROGRAM UPDATE	63
MR. JEFFREY KOTSCH, DOL	
SCIENCE ISSUES	82
SCIENCE PLANNING COMMITTEE	
GENERAL CROSS-CUTTING ISSUES	
ORO-NASAL BREATHING	
INGESTION	
CO-WORKER MODELS	
CONSTRUCTION TRADES TIB	
DR. BRANT ULSH, NIOSH, OCAS	
SC&A FUNDING AND ACTIVITIES FOR NEXT YEAR	126
DR. LEWIS WADE, ABRWH, DFO	
MR. DAVID STAUDT, CDC	
SC&A CONFLICT OF INTEREST RESOLUTION PLAN	142
MR. DAVID STAUDT, CDC	
PUBLIC COMMENT	150
COURT REPORTER'S CERTIFICATE	210

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

4 LOCKEY, James, M.D.
5 Professor, Department of Environmental Health
6 College of Medicine, University of Cincinnati

1 MELIUS, James Malcom, M.D., Ph.D.
2 Director
3 New York State Laborers' Health and Safety Trust Fund
4 Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

POSTON, John W., Sr., B.S., M.S., Ph.D.
Professor, Texas A&M University
College Station, Texas

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

SIGNED-IN AUDIENCE PARTICIPANTS

ANSPAUGH, LARISA
ANSPAUGH, LYNN, SC&A
BEATTY, EVERETT "RAY", SR., FERNALD ATOMIC CO.
BROEHM, JASON, CDC WASHINGTON OFFICE
BUCHANAN, RON, SC&A
CALLAWAY, ALLEN
CARMOUCHE, ROBERT, NTS
CHANG, C, NIOSH
CHEW, MELTON, ORAU
CLAYTON, DOROTHY
COMBS-CROFTON, SYLVIA, OCHA NTS
COOK, PATRICIA
ELLENBERGER, JIM, ORAU
ELLISON, CHRIS, NIOSH
EVASKOVICH, ANDREW K., INTERNATIONAL GUARDS
FITZGERALD, JOSEPH, SC&A
FUNK, JOHN R., AVV NEVADA
HINNEFELD, STUART, NIOSH
HOWELL, EMILY, HHS
HYATT, SALLY
JACQUEZ-ORTIZ, MICHELE, CONG. TOM UDALL
KOTSCH, JEFF, DOL
LEWIS, MARK, ATL
MAKHIJANI, ARJUN, SC&A
MARSHALL, KENNETH
MAURO, JOHN, SC&A
MCGOLERICK, ROBERT, HHS
MILLER, RICHARD, GAP
MINGUS, RICHARD, FSI-LLNL WSI
PAZ, DR. JACOB
PRESLEY, LOUISE S., WIFE OF ROBERT PRESLEY
RINGEN, KNUT, CPWR
ROBERTSON-DEMERS, KATHY, SC&A
ROGERS, KEITH, LAS VEGAS REVIEW-JOURNAL
ROHRIG, NORMAN
ROLFES, MARK, NIOSH OCAS
ROZNER, KATHLEEN, SEN. REID
RUIZ, HARRIET
SCHAEFFER, D. MICHAEL, SAIC
SHELL, LULA, AVV NEVADA

SMITH, BILLY P., MH CHEW AND ASSOCS.
STAUDT, DAVID, CDC
ULSH, BRANT, NIOSH
ZACCHERS, MARY JO, ORAU
ZIEMER, MARILYN

P R O C E E D I N G S

(1:15 p.m.)

WELCOME AND OPENING COMMENTSDR. PAUL ZIEMER, CHAIR

1 DR. ZIEMER: Good afternoon, everyone. I'd like to
2 call the meeting to order. This is the 40th
3 meeting of the Advisory Board on Radiation and
4 Worker Health. We're pleased to be back in Las
5 Vegas. This Board met here a little over two
6 years ago, actually in this very hotel,
7 although at that time we met in the -- what do
8 I call it -- the theater, which I think was
9 smaller than this room; I know you barely could
10 squeeze in. But we're pleased to be back in
11 Las Vegas.

12 We have an opportunity in several places during
13 this meeting for input from the public. And if
14 you do wish to make comments to the Board, we'd
15 like to ask that you sign up. There's a sign-
16 up booklet in the foyer, so please do that.

17 Also, my usual reminder is -- to everyone,
18 Board members, staffers, members of the public
19 -- please register your attendance with us in
20 the registration book which is also in the

1 foyer.

2 On the tables over to my right are various
3 documents, including today's or this week's
4 agenda and various documents that the Board
5 will be using as part of its deliberations this
6 week, so please feel free to take copies of
7 those as -- as they may be needed as you follow
8 along with the deliberations of this body in
9 the next two or three days.

10 Our Designated Federal Official is Dr. Lewis
11 Wade, and he's going to make a couple of
12 opening remarks, and then we will continue with
13 the agenda.

14 **DR. WADE:** Thank you, Dr. Ziemer. Welcome,
15 all. And I thank particularly the Board
16 members for their service.

17 Before I make a couple of opening comments I
18 would like to try and deal with the issue of
19 whether or not we're being heard by our friends
20 and colleagues that are on the telephone. Is -
21 - can you hear me if you are on the telephone?

22 **UNIDENTIFIED:** (Unintelligible)

23 **DR. ZIEMER:** Maybe we should identify -- is
24 Mike Gibson -- Board member Mike Gibson, Mike,
25 are you on the phone?

1 **MR. GIBSON:** Yes, I'm on the phone and --

2 **DR. ZIEMER:** Okay, we can barely hear you,
3 Mike, but I think I heard a response.

4 **DR. WADE:** Right, I've asked our sound people
5 that if Mike Gibson wishes to speak -- he's a
6 Board member -- he needs to be heard
7 immediately, so if you could do what you need
8 to do to -- to amplify his voice, we would
9 appreciate that. They're trying to find a
10 balance between the settings so that we can be
11 heard and we can hear them.
12 The Board, as currently constituted, is made up
13 of people you see here plus Mike Gibson. I
14 welcome Wanda Munn. Wanda is a long-time Board
15 member. As you know, I announced that Wanda
16 was not a member of the Board during our last
17 call. Since then Wanda is back with the Board
18 and we certainly welcome her back. As Dr.
19 Ziemer mentioned this morning, I know of no one
20 who can tell me whether she was actually off
21 the Board. I only know now that she is on the
22 Board, and that's more than enough for us to
23 continue with our business.
24 I bring you warm regards from the Secretary of
25 HHS and from the Director of CDC, and certainly

1 from the Director of NIOSH, Dr. John Howard.
2 And I welcome you again and I look forward to a
3 most productive Board meeting.

4 **DR. ZIEMER:** Thank you very much, Dr. Wade.
5 Also I do want to note and recognize Michele
6 Jacquez-Ortiz, who's from Congressman Tom
7 Udall's staff -- Congressman Tom Udall of New
8 Mexico. Welcome, we're glad to have you here.
9 We may have -- I know that Kathleen Rozner from
10 Senator Reid's staff was here earlier. Maybe
11 we'll recognize her when she returns, but we're
12 -- we're pleased to have the representatives
13 from various Congressional groups with us.

14 **DR. WADE:** Are there any Congressional
15 representatives or staff members on the line
16 who want to be identified?

17 (No responses)

18 Okay.

19 **CHARTER FOR SUBCOMMITTEE**

20 **DR. ZIEMER:** Thank you. Our first item for
21 business this afternoon deals with our
22 subcommittee. We have -- the Board has --
23 currently has one subcommittee that is
24 chartered. It's called the Subcommittee on
25 Dose Reconstruction and Site Profile Reviews.

1 That subcommittee, if action taken at our last
2 phone meeting is finalized, will morph, as it
3 were, into a Subcommittee on Dose
4 Reconstruction Reviews and the responsibility
5 for site profile reviews will no longer be part
6 of that subcommittee's charter.
7 This morning when the subcommittee met it
8 approved for recommendation to the full Board a
9 revision in the charter that would accomplish
10 the change, mainly the change in reducing the
11 responsibilities to focus completely on dose
12 reconstructions. And the related change would
13 be to specify a smaller subgroup of this full
14 Board as the membership of the subcommittee.
15 The document is -- Board members, is the first
16 tab in your agenda book. There are copies of
17 this document on the table for members of the
18 public. It's called Draft Rev 1 and it has
19 today's date on it, and it says -- it's
20 Advisory Board on Radiation and Worker Health,
21 Establishment of Subcommittee.
22 The establishment of the subcommittee actually
23 is an action that would have to be taken by the
24 Secretary of Health and Human Services, so if
25 the Board does approve this proposed charter

1 today, it goes as a recommendation to the
2 Secretary for his final action.

3 Board members, we have then a recommendation,
4 which constitutes a motion to approve the
5 document. There are a couple of changes in the
6 document that resulted from our meeting this
7 morning. One is a typographical in the first
8 paragraph -- first paragraph, line three in
9 quotes where it currently says "very a
10 reasonable sample" should say "verify a
11 reasonable sample," so make that a pen and ink
12 correction on that typo.

13 And then on the attachment, page three, called
14 Membership Roster, the list of proposed members
15 now should read, as it comes from the
16 subcommittee, Mark Griffon Chairman, Michael
17 Gibson, John Poston, Wanda Munn as members,
18 Robert Presley Alternate 1 and Brad Clawson as
19 Alternate 2 members, Lewis Wade as the
20 Designated Federal Official.

21 So this recommendation from the subcommittee
22 represents a motion before the Board. It is
23 open for discussion.

24 I might add one other thing, that if we approve
25 this I believe -- and Dr. Wade, you can help me

1 in case I have this wrong, but it seems to me
2 that we have to take action to terminate the
3 other charter and therefore request that it be
4 ended and that this replace it. Would that be
5 your understanding?

6 **DR. WADE:** Yes, that could be part of your
7 motion, although if you did not make that
8 motion, I would take that sense and make that
9 recommendation, but it would be better part of
10 your motion.

11 **DR. ZIEMER:** Okay. Dr. Poston?

12 **DR. POSTON:** Mr. Chairman, before we vote on
13 this I'd like to tidy it up a little bit.
14 Under --

15 **DR. ZIEMER:** Motion to tighten things up.

16 **DR. POSTON:** Under Function, number 1, it says
17 "review and recommended," I think that should
18 be "review and recommend".

19 **DR. ZIEMER:** Thank you, that's correct -- a
20 friendly amendment.

21 **DR. POSTON:** And under number 4, I think we
22 probably don't need the -- after "members'",
23 which is possessive, it should be "conflicts of
24 interest" and I propose we delete the next
25 word, "standing". That seems to be

1 unnecessary.

2 **DR. ZIEMER:** I certainly agree with what you're
3 saying. I'm not sure why it's there. It
4 somehow got carried over, and perhaps
5 incorrectly, from the original.
6 Board members', it's a plural possessive,
7 should be conflicts of interest, and then
8 eliminate the word "standing". I'll take it
9 without objection that we accept these as
10 friendly clean-up amendments.

11 **DR. POSTON:** Thank you.

12 **DR. ZIEMER:** Thank you. Further comments or
13 questions?

14 **MR. GIBSON:** Dr. Ziemer, this is Mike. Could
15 you -- could I hear that repeated again? It's
16 still kind of vague here and --

17 **DR. ZIEMER:** What I'll do is have us act on
18 this document and then I'll ask for a separate
19 motion on the issue of terminating the other
20 charter.

21 Are you ready to vote on this document?

22 **MR. GIBSON:** Dr. Ziemer?

23 **DR. ZIEMER:** It appears that we're ready to
24 vote. All in favor say aye.

25 (Affirmative responses)

1 **UNIDENTIFIED:** Hold on, you've got a --

2 **DR. ZIEMER:** Oh, hold on.

3 **UNIDENTIFIED:** (Unintelligible)

4 **DR. ZIEMER:** I'm sorry. Mike, did you have a
5 comment?

6 **MR. GIBSON:** Yes, could I hear --

7 **DR. ZIEMER:** Speak real loud.

8 **MR. GIBSON:** -- the last clean-up motion by Mr.
9 -- Dr. Poston again about the standing issue?

10 **DR. ZIEMER:** I think --

11 **MR. GIBSON:** (Unintelligible)

12 **DR. ZIEMER:** -- Mike, what you're saying -- Dr.
13 Poston asked -- suggested that the word
14 "standing," after the word "interest," be
15 deleted. It didn't seem to make sense there so
16 -- so "conflicts of interest and ensuring a
17 balance" and so on. Was -- does that clarify
18 what you were asking?

19 **MR. GIBSON:** Dr. Ziemer, this -- this
20 connection for some reason this time is just
21 really not working. I hear you a little --

22 **DR. ZIEMER:** We're hearing you very well right
23 now, Michael.

24 **MR. GIBSON:** I'm still missing parts of what
25 people --

1 could you -- could Dr. Poston please describe
2 what he means by "standing"? Is that -- I mean
3 standing conflict of interest or --

4 **DR. ZIEMER:** No, he didn't know what the word
5 "standing" meant, either, and neither did the
6 rest of us. We -- that's why we were asking
7 that it be deleted.

8 **MR. GIBSON:** I just -- my concern is does that
9 bring it into the future or -- is it a standing
10 conflict of interest or something in the past I
11 guess is what I'm asking.

12 **DR. ZIEMER:** Yeah, I -- I don't think we -- I
13 don't think we know why the word "standing" was
14 in there in the first place, so we're not
15 understanding ourselves why it was there,
16 unless there should have been a comma there.
17 Perhaps it has to do with the standing of the
18 members in some sense with respect to a site.
19 I don't know. I think the word was in the
20 original document, but I don't know why.

21 **DR. WADE:** And I think currently, Michael, will
22 just refer to a member's conflict of interest
23 as they exist at that point in time.

24 **MR. GIBSON:** As they exist at that point or
25 this point in time?

1 **DR. WADE:** Correct.

2 **DR. ZIEMER:** Are we okay on that?

3 **MR. GIBSON:** Are -- is it as they exist at this
4 point in time or at that point in time
5 previously?

6 **DR. WADE:** At this point in time.

7 **MR. GRIFFON:** At the time that -- Mike, it's at
8 the time the panels will be selected, so you
9 know. Did you hear that?

10 **MR. GIBSON:** I heard at the time the panel will
11 be selected. That's all I heard.

12 **DR. ZIEMER:** Yeah, it's --

13 **MR. GRIFFON:** That's all I said.

14 **DR. ZIEMER:** Well, the -- the sense of the --
15 of the item, assign the cases, would simply
16 take into account conflicts of interest.

17 That's the thrust of it. And conflicts of
18 interest as they currently are defined, that's

19 -- some of that is present and some of that is
20 past, so it's as conflicts of interest are --

21 are defined and the word "standing" is not

22 really needed to -- for -- as a clarifier,

23 therefore we're simply deleting it. Hopefully

24 that clarifies that.

25 Any other questions or comments?

1 (No responses)

2 If not, I'm going to call for a vote. All
3 those in favor, aye?

4 (Affirmative responses)

5 And Michael, are you voting aye?

6 **MR. GIBSON:** With respect, Dr. Ziemer, and it's
7 my apology I'm not there, I'll abstain from
8 this vote.

9 **DR. ZIEMER:** Okay, thank you. Any nays?

10 (No responses)

11 And Michael is abstaining, we'll show that in
12 the record as well. Thank you very much. The
13 motion carries.

14 While we're on the topic of then the -- this
15 charter, I would entertain a motion that we
16 recommend that the previous charter for the
17 Subcommittee on Dose Reconstruction and Site
18 Profile Reviews be terminated.

19 I guess nobody wants to make such a motion
20 'cause you have such an attachment to the old -
21 -

22 **MR. PRESLEY:** So moved.

23 **DR. ZIEMER:** So moved, okay, then --

24 **MS. MUNN:** Second.

25 **DR. ZIEMER:** -- and seconded. Now for -- any

1 discussion?

2 (No responses)

3 Call for the vote. All in favor, aye.

4 (Affirmative responses)

5 Any opposed, no.

6 (No responses)

7 Abstentions? And Michael, I didn't hear, but
8 we didn't take a nose count, did you vote --

9 **MR. GIBSON:** I'll vote aye.

10 **DR. ZIEMER:** Voting aye.

11 **DR. WADE:** Thank you.

12 **DR. ZIEMER:** Thank you, the ayes have it.

13 While we're on the topic then of the
14 subcommittees, this also brings up the issue of
15 working groups since the old charter included
16 working group activities and we now are doing
17 most of the workgroups -- or most of the site
18 profile work by workgroups. I'd like to take a
19 moment and review the workgroup assignments,
20 keeping in mind that at our last meeting when
21 we thought Wan-- our last meeting, which was
22 the phone meeting August 8th, we thought that
23 Wanda had finished her term on the Board and so
24 we excluded her from the appointments. In
25 fact, I think we actually replaced her on a

1 couple of cases or --

2 **DR. WADE:** No, we did not replace her.

3 **DR. ZIEMER:** We didn't replace her, but we
4 removed her -- she is irreplaceable, now I
5 remember.

6 **MS. MUNN:** Uh-huh, pushed me off the edge.

7 **DR. ZIEMER:** Pushed you off the edge, Wanda.
8 But let us review those working group
9 memberships and, if the Board so pleases, we
10 can restore those formally if the Board is
11 inclined to do so and -- yeah, right, so let's
12 -- Lew has -- has a list of the workgroups and
13 let's review those, if you would, Lew.

14 **DR. WADE:** Okay. Now I'm going to focus on
15 current working groups of the Board. There is
16 a working group on the Nevada Test Site site
17 profile. It's chaired by Presley and membered
18 by Roessler and Clawson.
19 There is a workgroup on the Savannah River Site
20 site profile chaired by Gibson and members
21 Clawson, Griffon and Lockey.
22 There is a workgroup on the Board topic of SEC
23 petitions and petition reviews chaired by Dr.
24 Melius, with members Griffon, Roessler and
25 Lockey.

1 **DR. WADE:** Ask for Michael.

2 **DR. ZIEMER:** Michael?

3 **MR. GIBSON:** I vote aye.

4 **DR. ZIEMER:** And it is so ordered, the ayes
5 have it.

6 **DR. WADE:** Just for the record, with the
7 indulgence of the chairs of those working
8 groups, Wanda was on the call for those -- all
9 of the working group calls between August 8th
10 and this point and is fully up to date on their
11 deliberations.

12 **MS. MUNN:** That's correct.

13 **NIOSH PROGRAM UPDATE**

14 **DR. ZIEMER:** Thank you very much. We now will
15 hear from Larry Elliott, Director of the OCAS
16 program, who's going to give us an update on
17 the NIOSH program. And Larry, if you can also
18 report on the status of Dr. Neton, that would
19 be appreciated.

20 **DR. WADE:** And I invite Board members as
21 appropriate to move their chairs or -- so they
22 can have access to the screen.

23 **MR. ELLIOTT:** Thank you, Dr. Ziemer, members of
24 the Board and general public and colleagues. I
25 appreciate the opportunity to be here with you

1 again today and give you a brief update on the
2 dose reconstruction program, our
3 accomplishments and status report on issues.
4 Jim Neton sends his warm regards and his
5 regrets that he can't join you here in Las
6 Vegas at this meeting. He's recovering from
7 surgery and we look forward to him rejoining
8 the OCAS team very soon, probably about four to
9 six weeks. And so I know that he's in many of
10 your thoughts and he appreciates the kind cards
11 and comments and gifts that have been sent to
12 him.

13 With regard to the claim status information for
14 all of the cases that have been referred to
15 NIOSH for dose reconstruction from the
16 Department of Labor, we have received, as of
17 August 31st of this year, 22,316 claims. Of
18 those about 75 percent have been completed
19 under a dose reconstruction and returned to the
20 Department of Labor.

21 As you can see from this slide, 14,731 of those
22 claims have been submitted with a dose
23 reconstruction report to DOL; 661 claims were
24 pulled by the Department of Labor -- and when
25 we say pulled, that means that Department of

1 Labor retrieved that claim from us and stopped
2 any dose reconstruction activity on the claim.
3 And this can be for various reasons. They sent
4 us the claim inadvertently, it was not a cancer
5 claim or it was a -- a claim that was in the
6 SEC for one of the Congressionally-statuted
7 classes, or a variety of other reasons, but at
8 any rate, 661 claims have been pulled back by
9 DOL. We currently have seen 1,255 claims
10 pulled from the dose reconstruction process to
11 be handled by the Department of Labor under a
12 specific Special Exposure class eligibility
13 situation. And 175 claims have been
14 administratively closed at dose reconstruction
15 for lack of a response to our request to the
16 claimant as to whether or not they have any
17 additional information to provide.
18 We have about 5,500 claims still at NIOSH.
19 You're going to see different numbers from my
20 presentation from -- to that of Jeff Kotsch's,
21 who'll talk later from DOL, and we can explain
22 the difference in those numbers, I hope, but
23 there's a reason for those differences --
24 different points of snapshot in time. The way
25 we build the number and explain the number can

1 cause a difference in the reporting. But at
2 any rate, we still have about 25 percent of the
3 claims that have been sent to us in our hands
4 for dose reconstruction to work on.

5 Of those 14,731 claims that we have returned to
6 the Department of Labor for a decision, we
7 understand that about 27 percent, or 3,982,
8 have had a POC or probability of causation
9 greater than 50 percent, thus they were found
10 to be compensable. Conversely about 73 percent
11 of the cases had a POC or probability of
12 causation less than 50 percent and were denied
13 compensation.

14 I think from the DC meeting it was of interest
15 to learn about the different types of dose
16 reconstruction that we do, essentially three
17 main categories, if you will -- best estimate,
18 overestimate, underestimate. And I've broken
19 those out in this chart for the Board -- for
20 the Board's consideration in going about doing
21 your review work.

22 As you can see here that the best estimates are
23 the -- are the top -- top three here, full
24 internal, full -- and external, full primary
25 external and full primary internal. This -- as

1 you know, internal/external goes to the source
2 of the dose, whether it's inside your body or
3 outside your body. But these represent --
4 these three here represent those best estimate
5 cases.

6 Overestimates are where we complete a dose
7 reconstruction to show that the most -- high --
8 highest plausible dose that could have been
9 acquired by the Energy employee was not going
10 to relate to causation of their cancer, and so
11 we may not have to do a full-blown best
12 estimate. We can show by overestimate that the
13 case is non-compensable. And as you can see,
14 about 67 percent of the cases fall into this
15 category right here where overestimate on
16 internal and external dose was done.

17 And you can see the underestimate in these
18 three numbers here, and this is where we use
19 either the dose of record, the original badge
20 results or the urine bioassay results, to show
21 that the claim is compensable and we don't have
22 to complete a dose reconstruction to the
23 fullest extent.

24 Of the 5,500 some-odd cases remaining at NIOSH
25 for dose reconstruction we have about 1,230

1 that are currently assigned to a dose
2 reconstructor, so they are working their way
3 through that process; 622 initial draft dose
4 reconstructions are sitting with claimants as
5 of August 31st. That means that we have
6 finished our work and the claimant has signed
7 an OCAS-1 form stating that they have no
8 additional information to provide us -- or
9 that's what we're waiting for, we're waiting
10 for that OCAS-1 to come back saying that they
11 have no additional information to provide us.
12 I might add here that this next six-month
13 period is going to be a very interesting and
14 critical period of time in our projection of
15 how our work flows. Why do I say that? In the
16 next six months we should see a full reduction
17 of the backlog of claims. We should arrive at
18 a steady state, and we define steady state as
19 no claims in our hands for dose reconstruction
20 that are over a year old.

21 The ORAU team -- and why do I say this? The
22 ORAU team has achieved a capacity of dose
23 reconstruction production of about 160 cases or
24 claims reconstructed in a -- in a -- per week,
25 and we have seen them complete 3,736 claims in

1 the last six months. So they have this
2 capacity, and if you can do the math, I think
3 you can see in the -- in the algebra there that
4 we're going to approach steady state very soon
5 next year.

6 We're very much concerned and interested of
7 course with our oldest claims. We're striving
8 to finish those dose reconstructions up for
9 those claimants who submitted their claims back
10 in 2001 and we're still working on those. We
11 track claims by giving -- assigning a tracking
12 number, as you know, and so we look at the
13 first 5,000 claims to see where -- how much we
14 have achieved and -- and what is left to be
15 done there. 4,837 of those first 5,000 claims
16 have been completed with a dose reconstruction
17 report to the claimant, that leaving 163 active
18 cases among the first 5,000 claims; 24 of those
19 have draft dose reconstructions with the
20 claimants and so we're awaiting the OCAS-1; and
21 139 claims below 5,000 in our tracking system
22 are active with no DR yet -- dose
23 reconstruction report -- and these are perhaps
24 the most difficult claims that we face. They
25 represent small -- represent small AWE sites

1 with only one or two claims. I'll talk about
2 those in a moment while we're doing -- with
3 regard to those claims in those situations.
4 This graphic is a standard graphic, but it'll
5 probably be the last time you see it. I'm
6 going to change it, and I know that hurts some
7 people maybe -- Wanda's saying don't do that,
8 don't do that -- but you'll see it again but
9 it'll be reconstituted and it'll be, I hope,
10 providing some additional information. So what
11 you see here are the cases that we have
12 completed by 1,000 number tracking number. And
13 the blue line indicates -- the blue part of the
14 bar indicates those cases that have been
15 completed, dose reconstructions have been
16 returned to Department of Labor for decision.
17 The red bar -- the part of the bar represents
18 cases that have been pulled by the Department
19 of Labor or administratively closed. And the
20 green shows you the cases that are pended for a
21 variety of reasons. We pend cases to make sure
22 that we don't expend any unnecessary effort on
23 those cases and we're working on some
24 particular issue or obstacle that needs to be
25 resolved before we advance the dose

1 reconstruction and unpend the cases.
2 What's missing here and I will show in the next
3 -- the next Board meeting are the number of SEC
4 claims that have moved into a class. I think
5 that's important. And that will leave only one
6 other number to show in this bar besides the
7 SEC claims, and those will be the reworks, the
8 number of reworks in that particular section
9 that are still open. So I'm just going to try
10 to be more informative with this graph. You'll
11 see it again, but in a reconstituted form.
12 You've also seen this graph a number of
13 meetings. This -- this graph shows the cases
14 that we have received from the Department of
15 Labor in the blue line -- over the course of
16 time, by month or quarter, October through
17 December '01. The green line reports the draft
18 dose reconstruction reports to the claimants
19 that we have submitted. And then, after we've
20 gotten the OCAS-1 back from the claimant, the
21 red line represents the final dose
22 reconstruction reports that have been provided
23 to the Department of Labor.
24 There are some interesting artifacts in this
25 graph. I spoke about them at the Washington,

1 DC meeting and I'll talk briefly about them
2 here again. Of course we received claims
3 starting in about the third week of October of
4 '01. And as you can see, that's our -- that
5 was what caused our backlog. And by the time
6 we got up and running with the infrastructure
7 and our rules in place, it wasn't until I
8 believe -- let me make sure I get this right --
9 the first draft dose reconstruction report was
10 produced somewhere around March of '03, and
11 then you can see and follow how we've done
12 since that point in time. So we are now
13 working off this backlog and, as I said, hope
14 to be done with that early next year.
15 I spoke briefly about the administratively
16 closed records. The dose reconstruction rule
17 allows us to administratively close a dose
18 reconstruction if we don't hear from the
19 claimant as to whether or not they have
20 additional information to provide on their dose
21 reconstruction. If we don't get that OCAS-1
22 form, we're required by the regulation to
23 administratively close the claim. We can
24 reopen at any point in time that the claimant
25 so desires. They can either send us a

1 completed OCAS-1 form, or they can provide
2 additional information that may inform the dose
3 reconstruction. So this shows you the trends
4 of those administratively-closed claims.
5 I spoke a minute ago about reworks. Reworks
6 come back to us from the Department of Labor.
7 This slide shows you the trends in that regard.
8 It shows you the numbers that we have received
9 in the green side of the bars and the blue side
10 shows you what we have returned to the
11 Department of Labor. Overall this represents
12 about 12 percent of our dose reconstructions
13 that we have completed. I will say to you that
14 the majority of the rework that we do on dose
15 reconstructions is because the demographics of
16 a claim have changed.
17 What does that mean? Well, there -- the
18 claimant has another cancer, the claimant found
19 additional employment history or something --
20 or the -- a new survivor has appeared on the
21 claim, which requires us to seek an interview
22 from that survivor if they so choose and we
23 have to reopen the dose reconstruction. So the
24 minority of these reworks are on technical
25 issues, and we've found that when we look at

1 those -- and we monitor those as close as we
2 can -- we've found that some of those technical
3 issues were like ingestion for Savannah River
4 in the early cases that we reconstructed there,
5 so very few of these reworks are -- are
6 returned to us for technical issues.
7 We approach the Department of Energy and we
8 have points of contact at each Department of
9 Energy facility that supplies us with
10 information on the dose that has been recorded
11 for Energy employees. Again, we do not accept
12 cumulative dose reports. We only work with
13 original data. We work with the original badge
14 data, bioassay or urinalysis data. And you can
15 see from this slide the number of outstanding
16 requests that we have with DOE right now are
17 242 individual claims, of which 83 have
18 exceeded a 60-day time frame in trying to
19 respond to us. We track these on a monthly
20 basis and report back to Department of Energy
21 on any of these delays, and we monitor those
22 delays for certain trends -- whether or not
23 they reflect a certain site not being
24 responsive or if there's individual
25 circumstances that appear in the delay of

1 response to our requests for information. We
2 follow up on that with the Department of
3 Energy.

4 Going to the number of SEC classes that have
5 been added to date, as of September 11 ten
6 classes of workers have been added to the
7 Special Exposure Cohort. You can see them
8 listed in this slide and the next one --
9 Mallinckrodt Chemical, two classes; Iowa Army
10 Ammunition Plant, two classes; Y-12 Plant, two
11 classes; Linde Ceramics Plant, one class; Ames
12 Laboratory, one class; Pacific Proving Ground,
13 a class; Nevada Test Site, a class.

14 One petition was approved but not added to the
15 SEC and that was the National Bureau of
16 Standards. As you recall, the Department of
17 Labor and Department of Energy determined at
18 the 11th hour that that facility was not a
19 covered facility, after we had done our work
20 recommending to add it as a class.

21 Six petitions have been evaluated and provided
22 to the Advisory Board for review and are
23 currently under Board deliberation, and you see
24 those listed here and they are on your agenda,
25 I believe, for this meeting.

1 **DR. WADE:** Well, four of them.

2 **MR. ELLIOTT:** Well, four of them are, yes. Six
3 petition evaluation reports are in development
4 as we speak here in Las Vegas. Folks are back
5 in Cincinnati and around the country working on
6 evaluation reports for the Fernald plant;
7 Monsanto Chemical, which is a precursor to
8 Mound; General Atomics, Los Alamos National
9 Lab, Bethlehem Steel and Harshaw Chemical.
10 There have been 13 requests to add a class to
11 the SEC that are currently in the qualification
12 process. This means that we're working with
13 the petitioners to establish the basis for the
14 petition, and you see those listed there.
15 Twenty-four requests have been added -- to be
16 added to the SEC have been administratively
17 closed, and these submissions were closed for
18 one of these following three reasons. The
19 submissions did not meet the petition
20 requirements as outlined in our rule 42 CFR 83
21 under Section 83.9. If you look at that
22 Section there's a nice little table in there.
23 I would like to say, all the petitioner has to
24 do is report in their petition those words that
25 are found in that table and they will meet the

1 basis for the petition, and we will provide an
2 evaluation that will provide an explanation as
3 to whether or not we believe that we can do
4 dose reconstructions. So just a word to the
5 wise, use that table at Section 83.9 out of the
6 rule.

7 Another reason for a petition having been not
8 qualified for evaluation would be that the
9 submission is already a member of the SEC.
10 Some classes were pre-established, as you know,
11 through Congressional intent, and we have
12 received a couple of those petitions. And once
13 we explain to the petitioner, they've
14 withdrawn.

15 And likewise, some other petitioners withdrew
16 their -- their interest in providing a
17 petition.

18 There've been 1,255 claims that are currently
19 at the Department of Labor for class member
20 status eligibility determination, and you see
21 those listed here. I won't read through them,
22 you have them in the presentation in your
23 briefing manual.

24 880 -- or 180 claims have been sent to the
25 Department of Labor for the two classes that

1 were added just a couple of weeks ago on
2 September 9th. The Ames Laboratory, we saw 21
3 claims in our hands and we moved those back
4 over to DOL to determine eligibility. And for
5 the Y-12 1948 to 1957 class we had 159 claims
6 in our hands that needed to be addressed by the
7 Department of Labor in their process.

8 Just to update you on the number of Technical
9 Basis Documents and Technical Information
10 Bulletins that are used currently within the
11 dose reconstruction program to treat claims, to
12 assist in doing dose reconstructions, we have
13 140 of those Technical Basis Documents in use
14 right now and 59 Technical Information
15 Bulletins.

16 There are a number of Technical Basis Documents
17 that are currently under revision. These are
18 living documents, as we have said in the past,
19 and as we find new information, as we hear
20 worker input, as we go around the country and
21 we hold meetings, we gain input and information
22 about our Technical Basis Documents, and so
23 we're working through revising those. And you
24 see those listed here.

25 I'd also add that ORAU is working through a

1 review of all of their current Technical Basis
2 Documents, looking specifically at the current
3 draft conflict of interest policy and starting
4 looking through those documents to make sure
5 that they have document owners and site
6 experts, technical experts all properly
7 attributed and identified. So that is also
8 going on in the Technical Basis Document and
9 TIB review.

10 There are currently -- I mentioned earlier that
11 we have a large number of facilities where we
12 have a small number of claims -- one, two,
13 three, four, five claims for a large number of
14 facilities. As you can see from this slide, we
15 chose to task Battelle under an existing task
16 order contract that NIOSH had. We gave them a
17 specific task under an existing task order
18 contract to address these particular Atomic
19 Weapons Employer sites where we had a large
20 number of sites involved for essentially 1,400
21 claims. About 15 percent of the claims at the
22 time we did this were represented by the --
23 this group, and they covered 85 percent of the
24 sites. These 85 percent of the sites did not
25 have a Technical Basis Document, so the first

1 order of business was for the Battelle folks to
2 be assigned to develop a Technical Basis
3 Document that addressed a group of facilities
4 that had a common, shared experience, like
5 uranium metal processing, uranium refining
6 processing. And so they're working now on
7 developing those Technical Basis Documents. We
8 have them in our hands. They're going through
9 our review and comment resolution process.
10 Of the 1,400 claims that we have assigned to
11 them, we've seen 80 dose reconstructions come
12 forward for our technical review. And of
13 those, we've passed on 37 of those to the
14 claimants, and we expect to see these numbers
15 increase considerably in the next few months.
16 Battelle is also charged with identifying as
17 they -- as they work through these set of
18 claims in these facilities, is there a facility
19 or a claim for which they cannot envision how
20 dose reconstruction can be accomplished. And
21 once we hear that from them, we take that site
22 back from them and we start processing that
23 particular site under what we call an 82.12,
24 which is our dose reconstruction rule which
25 determines that we cannot do dose

1 reconstruction, and we move into an 83.14
2 process in our SEC rule whereby we work with
3 that particular claimant and establish a class
4 to be added for that site. So you see here
5 with Dow Chemical out of Illinois is the first
6 one that we have taken up from Battelle to add
7 as a class.

8 We go into a report on our construction
9 workers. At your Denver meeting there was some
10 misinformation given and I'm still working to
11 give you a clarity and understanding about how
12 we're working on these particular claims for
13 this group of workers. I might say there's no
14 disenfranchised group of workers in this
15 program. We are focusing on all of the workers
16 as best we possibly can with all our resources.
17 So we have the number of cases for construction
18 trades job titles listed here, about 4,140.
19 This is a difficult number to get. It's not
20 the number that's in our electronic database
21 that's trackable. And that's unfortunate, but
22 it's an artifact of -- of the variety of job
23 titles that come out of the Department of
24 Energy and the AWE work sites, and how those
25 job titles are also reported to us in

1 interviews. And we have -- have wrestled with
2 that trying to make -- trying to come up with a
3 common list of job titles. We have developed
4 that with the support and concerted effort from
5 CPWR and others as -- and CPWR also worked with
6 us and ORAU team on the development of a
7 Technical Information Bulletin that could be
8 used to develop dose for unmonitored
9 construction trades workers. These would have
10 been workers that had worked on a given site
11 for a sub or a subcontractor and weren't part
12 of the M&O prime contractor and did not have
13 monitoring data for them. And so the Technical
14 Information Bulletin 52 that we have developed
15 in conjunction with support from CPWR and ORAU
16 prescribes a way about going how -- how that --
17 how we go about doing dose reconstruction for
18 that group of workers.

19 To date we have submitted 3,234 cases to the
20 Department of Labor with a dose reconstruction.
21 And you can see the outcome of those cases here
22 in this slide whereas about 24, 25 percent were
23 found to be compensable, and again about 75
24 percent were not compensable, with about 906
25 cases for construction trades awaiting dose

1 reconstruction at this point in time.

2 I might add here that as this TIB-52 goes into
3 operation and we work through those dose
4 reconstructions for those claimants, we will
5 also be looking back at the completed dose
6 reconstructions to determine, through a Program
7 Evaluation Review -- you've heard this
8 terminology I've used before. This is a
9 process that we use to look back at completed
10 cases that have been found to be non-
11 compensable and we evaluate whether a
12 modification or a change would affect the
13 decision outcome on those claims. So we'll be
14 going through a Program Evaluation Review on
15 those.

16 However, we purposely have pended cases
17 awaiting this TIB-52, so the 906 that you see
18 are the bulk of the cases that are going to be
19 affected by this TIB-52. The ones that were
20 completed that I show you on this slide -- we
21 doubt if there's many at all that would be
22 affected or should see a change, because those
23 were done with the data at hand and they were
24 typically monitored workers. So -- but at any
25 rate we will do a Program Evaluation Review.

1 **DR. WADE:** And Larry, TIB-52 is going to be
2 presented later.

3 **MR. ELLIOTT:** Yes, yes, Brant Ulsh will talk in
4 a little bit more detail about TIB-52, and we
5 are very interested in comments and any review
6 -- comments that we can receive about that.
7 The Board has -- in your first 80 dose
8 reconstruction reviews you've actually looked
9 at seven of those 80 which were construction
10 trades workers, so I'll just share that with
11 you.

12 We'll go to where we stand on Program
13 Evaluation Review right now. Five have been
14 completed. There's a -- one that's been
15 completed for the Hanford bias factor. We've
16 done one for a situation where we -- we
17 misinterpreted dosimetry records at Savannah
18 River and it underestimated some missed dose so
19 we're -- we've finished that evaluation. We've
20 done another Program Evaluation Review on the
21 error in surrogate organ assignment resulting
22 in an underestimate of X-ray dose at Savannah
23 River. We've completed another one on the
24 effect of adding ingestion intakes to Bethlehem
25 Steel cases, and we've also finished one on the

1 type of X-ray medical monitoring that they did
2 at Pinellas.

3 We have three at least -- I think there are
4 more being staged, but these three are under
5 way. In fact, I think the prostate target
6 organ one has already been reported to DOL or
7 is about to be reported to DOL, and we're
8 working on lung target organ and lymphoma
9 target organ. These are a result of
10 modifications that have been made within either
11 our dose reconstruction process or the -- the
12 POC rule.

13 Let me take you into a little different area
14 than we've -- we've talked about -- never
15 talked about this area before. NIOSH has in
16 its mission a responsibility to set a research
17 agenda for occupational health and safety
18 research, and they have called that NORA,
19 National Occupational Research Agenda. If you
20 go on the NIOSH web site, you'll be able to see
21 all about NORA that you would like to see, I
22 think. NIOSH and our partners are forming
23 eight sector research councils. These include
24 -- these partners include people from academia,
25 industry, labor and government. Each council

1 will draft a sector-based research set of goals
2 and objectives and action plans, and these
3 agendas will provide guidance to the entire
4 occupational safety and health research
5 community.

6 There are eight sectors, and I don't have them
7 on this slide but they're on the web site.

8 What I'm -- the purpose of introducing this --
9 this whole program to you in this particular
10 set of three slides is to let you know that
11 OCAS is also involved in NORA. Dose
12 reconstruction is what's considered in NORA a
13 cross-sector research area. It's not one of
14 the eight sectors, but it's a cross-sector.
15 And so we also have to have a committee develop
16 objectives, goals and action plans to
17 disseminate information about what we do, the
18 science behind what we do, and to foster and
19 stimulate additional research beyond just what
20 we have done in dose reconstruction.

21 Those are -- this -- dose reconstruction
22 program at NIOSH is an applied science program.
23 Our research is applied to the benefit, I hope,
24 of claimants. I know that many claimants don't
25 see it that way, but we try to do our best to

1 give our best service to the claimants. So we
2 also have a role in NORA in serving in a cross-
3 sector program in dose reconstruction.

4 So we have a -- a science planning committee
5 that has been established. Dr. Howard asked
6 that we establish a committee. It is a -- a --
7 this is not the complete roster of the
8 committee. It will be growing, I think, but
9 Dr. Neton will serve as the chair of this
10 committee. Doug Daniels is a health physicist
11 from a research part of the program in NIOSH
12 and not part of our dose reconstruction effort
13 in OCAS. And some of you may know Dade Moeller
14 of Dade Moeller and Associates, who has a very
15 strong interest in seeing the science of dose
16 reconstruction advanced. Dr. Richard Toohy --
17 you perhaps remember him as being a program
18 manager for the dose reconstruction project on
19 the ORAU team, and he has -- he has returned to
20 serve on this committee.

21 The committee is -- well, all of our work at
22 NIOSH is guided by the core values at NIOSH.
23 And in those core values we are -- we are
24 focused on providing the best science that we
25 can possibly provide. That science is to be

1 supported by peer review to ensure that a
2 sharing of thoughts of a wide range of highly
3 qualified professionals is -- is garnered. We
4 should also continue our awareness and be alert
5 to identify and implement changes in a program,
6 especially where advances need to be made. We
7 are careful to use data of the highest quality,
8 supported by cross-checks to ensure that those
9 data are valid. And our work must be
10 transparent and supported by peer reviews.
11 The second value that we ascribe to at NIOSH is
12 to seek opportunities to partner with industry
13 and government agencies to establish contacts
14 and -- at the proper level with the right
15 people.

16 Thirdly, we have a value that our program
17 should exemplify diversity, especially in
18 ensuring that our employees are representative,
19 that the efforts that are made to solicit the
20 best possible views and the best solutions are
21 being sought and brought to bear on the
22 problems that we face in occupational health
23 and safety research.

24 And our final value is that the product of our
25 efforts should be made readily accessible to

1 those who are interested and are in need of the
2 information.

3 So with that in mind, the first task that the
4 science planning committee has been given is to
5 work with the *Health Physics* journal, who has
6 asked us if we would be interested in putting
7 forward a special edition of the journal that
8 speaks just to dose reconstruction and the
9 science that has been developed behind that.
10 And so this is an opportunity to gain
11 additional peer review 'cause these articles
12 that will be published in this edition will
13 have a technical peer review. It will be as
14 transparent as we can make it with the journal.
15 There will be a -- you know, it represents a
16 diversity of views, we hope, and will make our
17 work accessible through this particular edition
18 of the journal.

19 I'm going now to the last couple of slides on
20 our communication initiatives. We have revised
21 the notice that we give claimants about
22 receiving their claim from the Department of
23 Labor, and that acknowledgement packet, with a
24 variety of materials, will be going out to the
25 claimants in January. We have -- that whole

1 packet right now is in final review with --
2 with our -- the technical review and legal
3 review with OGC.

4 We've also been working, as you know, on
5 revising the draft dose reconstruction report,
6 the report that goes to claimants that attempts
7 to explain how we did our work and what the
8 outcome of our work is for that claimant. It's
9 going through a second round of internal
10 technical and peer review, and we hope that
11 it'll be sent to members of this Board in
12 October for your review and your comment on
13 this.

14 This has not been as easy as one might think.
15 Everybody that looked picks up -- right now
16 I've seen -- just lately I've seen three
17 versions. Each version has a whole different
18 set of messages and content, and everybody that
19 you talk to has a whole different perspective
20 on what should be or should not be in one of
21 these reports. So we look forward to the
22 Board's review on that and their assistance in
23 helping us provide clearer communication.
24 Lastly, I think we talked about this in DC a
25 little bit, the dose reconstruction video

1 that's been created is in its final review.
2 External peer review has been completed. The
3 final edits are being made I guess and we hope
4 to see that -- distribution of that video go
5 out to the Resource Centers, go out to -- go on
6 our web site, go into the District Offices of
7 DOL. We'll use it -- upon request, we'll
8 provide it to anybody who wants to see it and
9 we hope it will inform and educate people about
10 what we do with dose reconstruction in this
11 program.

12 I think that's the last slide I have to...

13 **DR. ZIEMER:** All right, thank you very much,
14 Larry. Let's open the floor for questions from
15 the Board members, or comments on your
16 presentation. Dr. Melius?

17 **DR. MELIUS:** My first question, Larry, could
18 you explain a little bit more about these
19 Program Evaluation reports? I'm a little
20 confused by the list, and also the -- who they
21 report to. Were they reports to DOL? You -- I
22 believe you stated that the prostate tar--
23 target organ report was a report being given to
24 DOL.

25 **MR. ELLIOTT:** We give these -- yes, Program

1 Evaluation Reviews result in a report, a report
2 that speaks to all claims that have been
3 reviewed because a modification has been made
4 in an approach or a way we have completed the
5 dose reconstruction for a given claim. We
6 provide that report to the Department of Labor
7 so that they can in turn refer cases back to us
8 for rework that need to be reworked in case a
9 modification results in a change in a decision.
10 They will return those cases to us so that we
11 can rework them.

12 We don't have this on our web site at this
13 point in time. We are working to put a notice
14 on the web site that will list all of the
15 Program Evaluation reports. We have a
16 procedure that will also be shown on the web
17 site.

18 I don't know, does that answer your question?

19 **DR. MELIUS:** Could you share those reports with
20 the Board?

21 **MR. ELLIOTT:** Surely, we can share the reports
22 with the Board if you'd like to see the copy of
23 the reports.

24 **DR. MELIUS:** Yeah, I'm just trying to
25 understand them. I just don't --

1 **MR. ELLIOTT:** Sure, I can get you --

2 **DR. MELIUS:** -- understand.

3 **MR. ELLIOTT:** -- a copy of the reports.

4 **DR. MELIUS:** Yeah. Can I just ask one quick
5 follow-up? How do those relate to the -- I
6 always refer to them as remands, but the -- how
7 do they relate to the claims sent back to you
8 by the Department of Labor? Or is that a
9 separate --

10 **MR. ELLIOTT:** No, that's not -- you may -- we
11 may see in those reworks that I reported on
12 that -- some of those earlier ones may -- may
13 also be reflected in the number of reworks.

14 **DR. MELIUS:** So -- so an evaluation would be
15 something that you would generate rather than -
16 - that -- you, being NIOSH, rather than the
17 Department of Labor.

18 **MR. ELLIOTT:** Right.

19 **DR. MELIUS:** Okay. That helps.

20 **MR. ELLIOTT:** We have to work with them,
21 though, to handle the claims. In other words,
22 they have the claim -- and we're really
23 focusing here on the claims that have been
24 completed and found to be non-compensable.
25 We're not touching the compensable ones. We're

1 saying those are done and they're okay.

2 **DR. MELIUS:** Yeah. So like a rework would be -
3 - a technical rework --

4 **MR. ELLIOTT:** Yes, these --

5 **DR. MELIUS:** -- to you whereas these evaluation
6 reports are from you up to --

7 **MR. ELLIOTT:** Right.

8 **DR. MELIUS:** -- sort of DOL -- you have self-
9 generated.

10 **MR. ELLIOTT:** Yes. I doubt seriously whether
11 there's any -- I'd have to look, we'd have to
12 look, but I don't believe those first five
13 really show any reworks to us. I don't think
14 there were any changes made in compensability
15 based on those first five.

16 **DR. MELIUS:** Okay.

17 **MR. ELLIOTT:** We'd have to look at that,
18 though.

19 **DR. WADE:** Larry, I assume that as the Board
20 goes through and reviews Technical Basis
21 Documents or site profiles, if a change was to
22 be in order based upon those reviews, that
23 would trigger one of these reports.

24 **MR. ELLIOTT:** Yes. Yes, it does.

25 **DR. ZIEMER:** Thank you. Other comments,

1 questions for Larry? Yes, Mark.

2 **MR. GRIFFON:** Larry, I -- I just -- this is
3 actually something in response to the last
4 face-to-face meeting we had when ORAU mentioned
5 that they were going to go through all the site
6 profiles regarding new conflict of interest
7 concerns and -- and add I guess references or
8 indications of if it was site experts that
9 contributed, et cetera. Do you have a status
10 on that or where -- where all that stands with
11 that, is it...

12 **MR. ELLIOTT:** I don't have a status, and the
13 reason why I don't want to report status is the
14 conflict of interest policy is not final. And
15 we really -- ORAU is doing this on their own at
16 this point in time. They know as soon as that
17 policy becomes final they're going to have to
18 live with it so they've -- they've jumped in
19 advanced trying to work through these, but I
20 don't know how far through those reports they
21 are -- through those TBDs they are.

22 **DR. WADE:** Right. I mean I think this is a
23 terribly important issue. I will be sure that
24 on our call on October 18th we schedule such a
25 report and an update.

1 **MR. GRIFFON:** Okay. I guess that --

2 **DR. ZIEMER:** A report from ORAU then?

3 **DR. WADE:** A report that would be initiated by
4 ORAU. I don't know if it might be presented by
5 NIOSH or ORAU. I will have to work through
6 those details.

7 **MR. ELLIOTT:** Yeah, we'll have to see what's --
8 what's best there.

9 **MR. GIBSON:** Larry, this is Mike.

10 **DR. ZIEMER:** Mike, go ahead.

11 **MR. GIBSON:** Can I ask a question?

12 **MR. ELLIOTT:** Sure, Mike.

13 **MR. GIBSON:** You know, I -- I know that you and
14 the Department of Labor are doing, you know,
15 the best you can with the data you have
16 available. But you know, I think the reason
17 for this legislation was that, you know,
18 admittedly by the Department of Energy, they
19 didn't adequately monitor their employees. So
20 even if you go back to the raw data and give
21 the employees the benefit of the doubt, how can
22 we assure that employees were even monitored
23 for some of the isotopes they were supposed to
24 -- I mean, you know, there's just -- there's a
25 lot of opportunity, being an ex-DOE employee,

1 or a contractor employee, there's just a lot of
2 opportunity for data to just not be existent
3 and, number two, the data you go back to, the
4 raw data from DOE, how can we -- how can NIOSH
5 assure that that data was probably --
6 properly...

7 **MR. ELLIOTT:** Reported to us?

8 **MR. GIBSON:** Yeah, I mean, you know, as far as
9 what's the minimum allowable of activity and
10 everything else, you know.

11 **MR. ELLIOTT:** Well, certainly, yes, good --
12 good question and I appreciate the interest
13 behind the question, Mike. We -- we have
14 cross-checks that we employ on the data that's
15 provided to us. We -- we can -- the health
16 physicists can look at the -- that's why site
17 profiles are important to us for these large
18 DOE sites where a large number of people were
19 monitored so that we understand how those
20 monitoring practices changed over time. And
21 the health physicists are required to
22 understand those changes and to identify any
23 trends or pervasive problems that -- that may
24 exist in the data that comes forward from the
25 Department of Energy, so that's one mechanism.

1 Another mechanism is where we -- we look at the
2 distributions of data for a given site to
3 examine whether or not over a particular period
4 of time there looks like there's something
5 unusual that has gone on and we pursue that
6 with points of contact at the site. But also
7 remark to you that the EEOICPA law recognizes
8 that many people were not monitored, that
9 monitoring records were lost, and that dose
10 reconstruction has been brought to bear for
11 those particular situations. And in that,
12 NIOSH has consistently dealt with unmonitored
13 dose, missed dose and dose that was never
14 recorded for a variety of reasons. And so you
15 can -- I'm sure you've seen that in our -- in
16 the reviews of dose reconstructions that you've
17 conducted.

18 But your point is very well taken with us and
19 we -- we take it very seriously.

20 **MR. GIBSON:** But if I can just follow up, I
21 mean do you guys consider looking at like Price
22 Anderson reports and, you know, things like
23 that that the DOE has -- the DOE contractors
24 have to report regarding flaws in their
25 bioassay and other monitoring data, the

1 radiation protection program?

2 **MR. ELLIOTT:** Well, those reports are looked at
3 as -- as the dose reconstructor views the need
4 to look at those reports. If something looks
5 amiss, something looks out of -- out of -- out
6 of kilter, then they'll go and look and examine
7 those particular reports. They look at monthly
8 and quarterly summaries, incident reports, et
9 cetera. There's discretion to apply here where
10 it's -- you know, where it's necessary to do so
11 because a given claim would benefit from that,
12 they certainly do pursue that level of -- of
13 detailed investigation.

14 **DR. ZIEMER:** Thank you. Other comments or
15 questions?

16 **DR. WADE:** I mean if I could, I'd like to
17 follow up on Mike's question and point because
18 I think it's so terribly important. This Board
19 in its review function, be it a review of site
20 profiles or the review of petition evaluation
21 reports, is grappling with those very issues
22 that -- that Mike mentions. It's a terribly
23 vexing problem. And again, at times it's taken
24 the Board's working groups literally months to
25 try and grapple with these issues. They are

1 not trivial issues and Mike makes an
2 outstanding point.

3 **DR. ZIEMER:** Thank you.

4 **MR. GIBSON:** Lew, if I could follow up -- and
5 again, I just -- you know, I don't want to get
6 on my soap box again, but you know, the site
7 profiles were, for the most part -- and I've
8 still never heard from my question from months
9 ago, how many were generated by hourly or
10 salaried workers in the field that were not
11 management or in charge of the radiation
12 protection program in some form or manner.

13 **DR. ZIEMER:** I don't know if that's a
14 rhetorical question or an actual question, but
15 --

16 **MR. GIBSON:** That's an actual question and it's
17 a -- I guess it's a repeated request to get an
18 answer to that question. You know, people --
19 people that had their -- their nose out there
20 in the field, why aren't they site experts?
21 Why are the site experts only the people that
22 were in the radiation -- radiation protection
23 programs?

24 **MR. ELLIOTT:** Well, Mike, everybody that worked
25 at a site we consider to be a site expert.

1 That's why we felt it important to capture in
2 an interview what experiences that Energy
3 employee had. And I recognize that survivors
4 are at a great disadvantage, but you know, I
5 would answer your question that everybody who
6 worked at a site we view as a site expert. As
7 an industrial hygienist, I believe that the
8 best story I can hear is the one from the guy
9 who's working on a shop floor. He can tell me
10 whether or not the procedures that were written
11 for him are really workable and followable or
12 not, does he have ways that he gets his job
13 done without those procedures. And so that's
14 why we felt it very important to use interviews
15 to capture that on an individual basis.
16 I don't know, quite frankly, to answer your
17 question, how many site profiles or Technical
18 Basis Documents have been developed and drafted
19 in the ORAU team by people who served as
20 experts --

21 **MR. GIBSON:** Actual (unintelligible) --

22 **MR. ELLIOTT:** -- site experts or managers at a
23 given site. We will see what happens as this
24 conflict of interest policy is -- is -- will be
25 applied and we'll see what changes result from

1 afternoon, all, and I'd like to thank the Board
2 for the opportunity for DOL to give an update.
3 Pete Turcic, our Director, is tied up in a DOL
4 management meeting in Philadelphia so he's --
5 so I'm here instead.
6 And just a quick overview of what Labor does as
7 far as cases that involved the Energy Employees
8 Occupational Illness Compensation Program Act.
9 The part the we're interested in here comes
10 from Part B, which became effective in July of
11 2001, and to date -- and most of these slides
12 are dated -- or have information as of
13 September 11th, and unfortunately a lot of our
14 numbers -- you'll see discrepancies, or at
15 least differences with NIOSH's numbers,
16 partially due to the time we take the snapshot
17 of the data, partially due to the -- the
18 tracking mechanisms that are inherent in both
19 the system that NIOSH uses and the system that
20 Labor uses. We've had continuing efforts to
21 try to match -- or better integrate these
22 numbers and coordinate the numbers, but
23 unfortunately we're not always successful or at
24 least -- in trying to keep them in the same --
25 same step.

1 To date we've had 53,583 cases from -- which
2 involve 76,540 claims. Just again, there are
3 always more claims than cases because cases
4 involving survivors, such as children, will
5 always generate a -- or may have more than --
6 two or more children. We've had 34,346 cancer
7 cases and have referred 22,260 cases to NIOSH.
8 Now on the Part E side, this is the program we
9 inherited through an amendment to the Act which
10 was enacted in October of 2004, this is part of
11 the program, Part D -- I mean Part E, which is
12 the Part D from the Department of Energy and on
13 that side, and this is the toxic chemical side
14 of the program. B is primarily cancers,
15 silicosis claims for the miners and the tunnel
16 workers at Amchitka and Nevada Test Site, the
17 beryllium -- chronic beryllium and beryllium
18 sensitivity; and the RECA program, the
19 Radiation Exposure Compensation Act, which
20 comes out of Department of Justice that we
21 augment based on the Act.
22 Getting back to Part E for October of 41,474
23 cases, there are the number of claims, we
24 carried over from the DOE program -- or they
25 provided to us 26,547 cases, basically on the

1 effective date, which was June 2005. And since
2 then we've pretty much gone through -- we had a
3 target to try to get -- sift through at least
4 75 percent of those cases as far as initial
5 work getting those process -- in process and
6 reached that goal a few weeks ago.

7 To date -- again, September 11th -- Department
8 of Labor has provided \$2.1 billion from total
9 compensation. The Part B program is \$1.7
10 billion of that, the Part E program is \$456
11 million and you see the other breakdowns. The
12 other portion of that are the medical benefits
13 that we provide to the living employees and
14 that's, to date, \$122 million.

15 As far as the payees go, total has been about
16 24,500 for total payees under the Act. The
17 bulk of those, 20,800, are Part B payees for --
18 mostly for cancers -- cases, but also included
19 in there but not shown specifically are the
20 beryllium diseases -- or chronic beryllium
21 disease and beryllium sensitivity and silicosis
22 claims. The distri-- and again, in there are
23 the RECA and the cancer cases. And the Part E
24 payees were 3,700.

25 As far as --

1 **DR. ZIEMER:** Jeff --

2 **MR. KOTSCH:** Yeah, sure.

3 **DR. ZIEMER:** -- excuse me, could you speak a
4 little louder? I think some in the audience
5 are having difficulty. Maybe -- I don't know
6 if you can get that mike up to you or -- do we
7 have a lavalier mike available?

8 **MR. KOTSCH:** I'll just -- I'll just get closer.
9 Part B cancer case status, to date 52,154
10 claims have been filed on 34,346 cases. I was
11 -- I was going to work this from the bottom up,
12 just to -- and provide a brief overview of the
13 way the program works. If you look at the
14 bottom, we've got about 2,900 cases that are
15 pending DOL initial action, so these are cases
16 that come into the program, into the District
17 Offices -- the four District Offices scattered
18 around the country -- and have to have initial
19 development. They have to determine -- the
20 claims examiners have to determine whether
21 there is a -- in the case of a cancer, whether
22 there is medical evidence to support the
23 cancer, whether there's employment to a -- to a
24 covered facility, whether it be a DOE or an AWE
25 facility, whether there is the appropriate

1 survivor information if it's a survivor claim.
2 When we send the claims to NIOSH, and currently
3 we've got about 6,300 claims at NIOSH -- or
4 cases at NIOSH, then NIOSH does the dose
5 reconstructions and the next level there is
6 2,436 cases with recommended but no final
7 decisions. These are cases that dose
8 reconstruction has been returned, the claimant
9 has it in their hands, the District Office has
10 rendered a recommended decision. At this point
11 the claimant has the opportunity generally, if
12 it's a denied case, to appeal the process --
13 the first appeal in the process where they can
14 object to the recommended decision and either
15 ask for a review of the written record by the
16 FAB, which is the Final Adjudication Branch,
17 which is separate from the District Offices, or
18 ask for a hearing to present additional
19 information that they feel is necessary that
20 Labor hear to decide whether they -- sub--
21 submit that information in -- as an objection
22 to the case. That information is incorporated
23 in the final decision that's rendered by the
24 Final Adjudication Branch and results in the
25 final decision, which -- which we have 22

1 thousand and about 800 of those cases to date.
2 And the way that they -- the cases distribute
3 that average final decision, there is 8,297
4 acceptances and 14,503 denials. And the
5 breakdown next to that primarily shows -- the
6 biggest component of the denials are POCs
7 generated by the dose reconstructions of less
8 than 50 percent. Other categories are non-
9 covered employment -- they're not a -- we
10 couldn't verify employment at a DOE or an AWE
11 facility; insufficient medical evidence to
12 support the cancer claim -- these are all the
13 cancer cases; and ineligible survivor is a
14 minor component; and other, which is primarily
15 still the fact that they have -- do not have a
16 covered cancer. They may have had another
17 medical condition which initially in the
18 program wasn't addressed by the program but now
19 under Part E can be addressed -- a non-- a non-
20 cancer condition.

21 We're showing -- well, again, based on our
22 statistics from our program -- that we've
23 referred 22,260 cases to NIOSH. We've had --
24 we're showing 16,480 returned. A number of
25 those have -- were withdrawn, like -- like

1 Larry mentioned, for different reasons.
2 Sometimes we continue to review -- District
3 Offices continue to review. They find that the
4 case is no longer supportable. Maybe an
5 employee died, there's no more survivors, maybe
6 the cancer condition that was there was -- for
7 some reason additional development continued
8 and they could no longer support that, or
9 employment issues were raised, for whatever
10 reasons. So we're down to 15,128 dose
11 reconstructions which have been returned. This
12 rework number is different, radically lower
13 than the NIOSH numbers. And since I'm one of
14 the two people that basically send the reworks
15 to NIOSH, that -- our number looks lower than
16 what it seems like I do -- that we do every
17 week. And we still have, at least in our
18 records, showing about 5,800 referrals at
19 NIOSH. So with number 14,377 with dose
20 reconstructions, 11,000 -- about 11,700 have
21 resulted in final decisions and 1,783 have
22 resulted in --

23 **DR. WADE:** You're going to have to hold the
24 mike closer and speak more clearly, or maybe
25 even a bit slower, if you don't mind.

1 **MR. KOTSCH:** All right.

2 **DR. WADE:** We're having trouble. Just hold it
3 real -- maybe an inch or so from your mouth.

4 **MR. KOTSCH:** Is that better now?

5 **DR. WADE:** Yes.

6 **MR. KOTSCH:** Sorry, I should have done that --
7 sorry, I should have done that earlier. So
8 anyway, we're showing 14,377 cases with dose
9 reconstructions, of which about 11,700 have
10 resulted in final decisions; 1,783 are at the
11 recommended, but no final decision stage; and
12 we're pending about 900 cases in the process of
13 a recommended decision.

14 And this slide is just a breakdown of those
15 11,582 final decisions as far as the approvals,
16 which are about 3,371 and the denials about
17 8,200, and a distribution also of whether
18 they're specified or non-specified cancers.
19 The general number -- it seems across the board
20 that both NIOSH and we have found is split --
21 generally is specified cancers -- those in that
22 category run about 60 percent of all cancers,
23 you know, of that type.

24 Now under the new SEC related cases, here again
25 this number's different. We're showing 884

1 withdrawn for SEC reviews. This is -- these
2 are just on basically the first six SEC
3 classes, the two Mallinckrodt classes, the two
4 Iowa Ordnance classes, the early Y-12 -- the
5 1943 to '47 SEC class; and the Linde Ceramics
6 class. From these we're -- we've had 690 final
7 decisions, of which 592 have been approvals.
8 I'm not sure of all the basis for the denials
9 that are there, but probably a number of them
10 are related to the fact that they probably did
11 not have 250 day-- or did not meet the 250-day
12 requirement and then went back -- or remained
13 in the -- the dose reconstruction process; 171
14 cases are in the recommended but no final
15 decision process, and 23 are pending -- have
16 been received by the District Office and are
17 pending the writing of the recommended
18 decision.

19 I forgot to mention before, it's at that stage
20 where we are pending -- you know, as the
21 recommended decision is written, that's where
22 the bulk of the reworks come, when they come,
23 and go back to NIOSH. And again, as Larry
24 mentioned, the bulk of them are because of --
25 at that point in time as the -- as the claims

1 examiners are reviewing the case, they find --
2 they may find evidence of additional cancers,
3 and they find evidence of additional employment
4 for -- these are for non-compensable cases --
5 or other additional survivors, which really
6 doesn't impact the dose reconstruction as much
7 as just providing the opportunity for those
8 survivors to have a -- have an interview and
9 determine whether there will be anything
10 significant to affect the dose reconstruction.
11 NIOSH cases related to compensation, we have
12 paid \$572 million out to 5,420 payees in,
13 again, 3,825 cases. From dose reconstruction
14 cases, that's \$487 million to about 4,500
15 payees and another \$85 million for the new SEC
16 classes. These are the non-statutory ones
17 after the Act, in 571 cases.
18 These last couple of slides are just
19 information on three of the SECs that are going
20 to be discussed this week. They're not --
21 Larry, in his slides, had the actual number of
22 cases that are affected. These are cases that
23 to date have been -- been through the process
24 and resulted in final decisions in -- in some
25 of the cases. ORINS is the Oak Ridge Institute

1 for Nuclear Studies, 59 cases. NIOSH has
2 worked 21 to DR. We've gotten final decisions
3 on the Part B side for 24, approvals for eight
4 on the B, six separately on the Part E side,
5 and then a total compensation of \$1.4 million.
6 For LANL, that's whole -- 20,077 (sic) cases,
7 388 dose reconstructions by NIOSH, 1,490
8 roughly final decisions under Part B, 183 Part
9 B approvals, separately 161 Part E, and about
10 \$24 million in compensation there.
11 And then the S-50 thermal diffusion plant, 23
12 cases, five dose reconstructions, eight finals
13 on the B side, three approvals on the B side,
14 three on the E side, and then \$700,000.
15 And then the last slide is Nevada Test Site and
16 Pacific Proving Ground -- and again, those are
17 just the numbers of cases that have been done
18 through, in this case, September 9th. So 241
19 cases from Pacific Proving Grounds, 12 were
20 worked -- 12 dose reconstructions were worked
21 by NIOSH, 143 decisions for Part B -- primarily
22 a lot of them on I think on employment or
23 (unintelligible) kinds of things, covered
24 facility type of thing; Part B approvals, 13;
25 separately ten for Part E to -- to result in

1 \$2.6 million in compensation.

2 And then at the Test Site, 2,442 cases, 672
3 DRs, final Part B decisions of 1,577, 749 B --
4 B approvals, another 160 Part E approvals for
5 \$38 million.

6 And -- that -- that's blank. Anyway, that's
7 it. Any questions?

8 **DR. ZIEMER:** Thank you, Jeff. Let's open this
9 for questions. First Mark Griffon.

10 **MR. GRIFFON:** Jeff, going back to the question
11 on the reworks, I was -- I was wondering if you
12 can tell us, from DOL's perspective, what --
13 what are some of the scientific or technical
14 reasons that you've had in mind when you asked
15 NIOSH to do reworks. I'm not talking about an
16 additional cancer, but some of the scientific
17 or technical reasons.

18 **MR. KOTSCH:** Well, early on we were saying we
19 would see some objections -- I think Larry
20 mentioned it -- as far as say Bethlehem Steel
21 where we're getting ingestion questions on them
22 -- on that before we -- the site profile was
23 redone. We had similar questions as Savannah
24 River Site on ingestion I think before that --
25 well, I guess that one's still in the process,

1 but early on for that. I think we had a couple
2 at Iowa Ordnance, ingestion or on-site
3 consumption of water. The -- you know, the
4 objection was made that, you know, exposure
5 pathways were -- were present for those -- for
6 those people and we -- we considered that
7 reasonable as far as a technical objection goes
8 from -- from the claimants.

9 We have other ones where occasionally we -- we
10 -- and I'm trying to just think specifically,
11 but where we -- oh, we look at the procedures,
12 the TIBs that drive their dose reconstructions
13 and we don't -- we -- we're not -- sometimes
14 we're not sure exactly how they arrived at the
15 calculation and we just go back for
16 clarification.

17 What I need to do is maybe next time put
18 together a list of some of those things. We've
19 done that informally, not just -- and I'm
20 drawing a blank as far as reasons, and there
21 are not that many total technical ones that
22 drive us towards reworks as much as, like I
23 said, the other types of things that drive us
24 towards rework. But we can put that together
25 'cause that -- we informally exchange that with

1 NIOSH anyway.

2 **DR. ZIEMER:** Dr. Melius.

3 **DR. MELIUS:** Yeah, that would be helpful if you
4 could bring that back to this next meeting.

5 The other issue that came up -- and again, I'm
6 not sure you're ready to answer, but we'd asked
7 Pete Turcic I think at the last meeting if he
8 would -- some of the issues that came up with
9 defining the classes within the SEC and -- you
10 know, sort of employment classification issues
11 and so forth, and we were looking for feedback
12 on that also and --

13 **MR. KOTSCH:** Yeah, I --

14 **DR. MELIUS:** -- it might be too early.

15 **MR. KOTSCH:** Let me remind Pete. We'll work on
16 that one, too, for the next meeting.

17 **DR. MELIUS:** Yeah, it would be helpful.

18 Thanks.

19 **DR. ZIEMER:** Any other questions --

20 **MR. GIBSON:** (Unintelligible) question, too.

21 **DR. ZIEMER:** -- comments? Dr. Wade?

22 **DR. WADE:** I'd like to make a -- just a general
23 comment.

24 **MR. CLAWSON:** It sounded like Mike had one.

25 **DR. ZIEMER:** Hang on, Mike, just a second.

1 **DR. WADE:** Just following up on Mark's
2 question, I think it might be very appropriate
3 when the subcommittee on dose reconstruction
4 meets next to ask DOL to come in with those
5 numbers 'cause I think that's very valuable
6 information for the subcommittee to consider in
7 terms of the overall quality of the program.
8 So I think we should try and schedule that as
9 part of the agenda.

10 **DR. ZIEMER:** Thank you. Mike, did you have a
11 question?

12 **MR. GIBSON:** Yes, Paul.

13 **DR. ZIEMER:** Go ahead.

14 **MR. GIBSON:** For Mr. Kotsch, also, you know,
15 just as a follow-up, under subpart E when they
16 make their determinations, they're still basing
17 them on DOE records, I guess, you know, and
18 that's the whole point of this program. The
19 Department of Energy, whether it's radiation
20 exposure or toxic exposures, you know, then-
21 Secretary Richardson admitted they had not
22 properly monitored workers. So how -- how are
23 they making determinations under even subpart E
24 when there's obviously -- it seems to be
25 obviously -- a lack in full and -- and -- full

1 records.

2 **MR. KOTSCH:** For the -- for the Part E program
3 we're -- we're doing a number of things to
4 determine what toxic materials were present at
5 the sites, including creating what we call site
6 exposure matrices which are kind of clo--
7 somewhat like site profiles that NIOSH uses.
8 We have a contractor that goes out with us to
9 the different sites. We have tabletop meetings
10 with the workers that -- we obviously start
11 with (unintelligible) available from DOE or if
12 there's decommissioning or other kinds of work
13 that was done at the sites, we pick up that
14 information, too, but we get information from
15 the workers as far as what they think they were
16 exposed to. We get the -- the MSDS sheets. I
17 know they're more recent, but they do project
18 backwards somewhat as far as what materials
19 were present at the sites. We often assume
20 that a number of materials were present at most
21 of the DOE sites, and of course Part E is
22 applicable only to DOE sites, you know, and so
23 we consider things like asbestos to be
24 ubiquitous to all -- all sites, basically, as
25 well as a number of the normal -- what you

1 might consider the normal range of chemicals,
2 the (unintelligible) series, the other solvents
3 that were used, things like that. So there's a
4 number of inputs that are being assembled as --
5 and we're not done with all the sites by any
6 means and will continue to update the databases
7 on the toxic materials that are present at
8 those sites.

9 **DR. ZIEMER:** It appears that Mike may be asking
10 also, in the absence of either any exposure
11 information or questionable exposure
12 information, do you assume that a given worker
13 therefore was exposed to those things that were
14 on site? Do you make a -- something equivalent
15 to the claimant-favorable assumptions that
16 NIOSH does in --

17 **MR. KOTSCH:** Yeah, I -- it's not me, but we do
18 have industrial hygienists and toxicologists on
19 staff, and then (unintelligible) who look at
20 all these things, and it's a little more
21 subjective than the B side, it's not quite as
22 quantitative, but yeah, I think they're leaning
23 us towards assuming that the materials are
24 present and then determining, if they can or as
25 best they can, whether there's potential --

1 **MR. GIBSON:** I guess --

2 **MR. KOTSCH:** -- for causation.

3 **DR. ZIEMER:** Mike, go ahead.

4 **MR. GIBSON:** I guess I'm asking and -- and Dr.
5 Ziemer I think tried to get a -- an answer, but
6 I didn't really hear a positive answer. Is it
7 claimant favorable that if those substances
8 were at the site, how do you determine or are
9 you claimant favorable that those employees
10 were exposed to that substance?

11 **MR. KOTSCH:** Again, we can probably speak to
12 this better at a next meeting if -- some of you
13 -- I'm not as conversant on Part E, but -- as
14 far as how we're actually implementing the
15 program, but I think it -- it is tending to be
16 claimant favorable if they are -- you know, if
17 it's determined that -- you know, if there's --
18 if there's some evidence that the material was
19 on that site.

20 **DR. ZIEMER:** And perhaps that could be followed
21 up, but it, in a sense, is outside of our
22 jurisdiction but it does relate I think,
23 philosophically at least, to how programs are
24 administered.

25 **DR. WADE:** While it's outside our jurisdiction,

1 I -- I mean I think an answer at the next
2 meeting would be appropriate.

3 **DR. ZIEMER:** Further comments or questions?

4 (No responses)

5 Thank you, Jeff, for that update. We
6 appreciate it.

7 **DR. WADE:** You want a break?

8 **DR. ZIEMER:** We will take a 15-minute break. I
9 want to remind folks if -- there -- there is a
10 public comment session today at 5:00 o'clock.
11 If you would like to participate in that,
12 please be sure to sign up on the sign-up sheet
13 in the foyer. We'll reconvene at 3:15.

14 (Whereupon, a recess was taken from 3:00 p.m.
15 to 3:20 p.m.)

16 **SCIENCE ISSUES**

17 **DR. ZIEMER:** We're ready to reconvene our
18 session. If you would take your seats, we will
19 proceed.

20 (Pause)

21 Thanks, Joe; thanks, Mike; thanks, Richard for
22 sitting down rapidly.

23 We're now going to consider a number of issues
24 under the category of science issues, and Brant
25 Ulsh from NIOSH is going to make the

1 presentation. Brant, thank you. You may
2 proceed.

3 **DR. ULSH:** Thank you, Dr. Ziemer and members of
4 the Advisory Board. Do I need to hold the
5 microphone in my hand or can you all hear me
6 clearly?

7 **DR. ZIEMER:** Maybe -- maybe bring it up a
8 little bit.

9 **DR. ULSH:** Looks like I'm going to have to walk
10 and chew gum at the same time.

11 **DR. WADE:** Yeah, hold it real close. Hold it
12 close.

13 **MS. MUNN:** And maybe dance.

14 **DR. ULSH:** I'm going to talk about a number of
15 issues that fall under the umbrella of science
16 issues today. Ordinarily you might hear this
17 presentation from Dr. Neton, and as Larry
18 mentioned earlier, we certainly all wish him a
19 speedy recovery. I would like to echo that.
20 Dr. Neton is -- you know, I consider him a
21 friend, and so I send out thoughts of him on
22 that basis, but I also have a lot of purely
23 selfish reasons to wish him a speedy recovery.
24 I'm finding out over the past couple of weeks
25 just how much of a load Dr. Neton normally

1 carries on his shoulders, so I wish him a
2 speedy recovery.

3 So there are three primary topics that I'm
4 going to cover today, the first of which is a
5 general coworker methodology and how NIOSH
6 applies that methodology. The second is
7 related, and that is the construction/trade
8 worker TIB. And finally I'll give just a brief
9 update on a couple of items of scientific
10 interest, oro-nasal breathing and ingestion.

11 All right. First of all, the general coworker
12 methodology. One of the other things that I've
13 been involved with lately is the Rocky Flats
14 SEC petition, and this issue has come up in
15 that context, but it's not only limited to that
16 context. And the reason that I want to give
17 just some general words on this, I think the
18 concerns that we've heard expressed in the
19 context of the Rocky Flats situation are also
20 concerns that we see from workers at other
21 sites about how do we go about applying
22 coworker data to unmonitored individuals.

23 So first of all I want to tell you when we
24 might apply coworker data. First of all, the
25 first two bullets here show situations where

1 workers are either unmonitored, they have no
2 monitoring data at all; or monitoring is
3 incomplete, there are gaps in their monitoring
4 records. And in those situations we might
5 consider coworker data.

6 That's not necessarily a given because we have
7 other strategies -- dose reconstruction
8 strategies that we can employ. We have some
9 overestimating approaches we can use, and we
10 also have underestimating approaches that we
11 can use.

12 The situations where we would resort to
13 coworker methodologies are when the
14 overestimating techniques and underestimating
15 techniques that we have are not appropriate.
16 And an example might be we typically apply
17 overestimating approaches when the claim does
18 not look like it's going to achieve a
19 probability of causation of 50 percent or
20 greater. If we overestimate it and the
21 probability of causation is still less than 50
22 percent, then we can consider that claim
23 complete. On the other hand, if we apply those
24 overestimating approaches and it results in a
25 probability of causation above 50 percent,

1 well, then that approach is not appropriate and
2 we might have to resort to coworker data in
3 that situation.

4 And of course all of this is predicated on the
5 existence of suitable coworker data for a site.
6 All right, I want to spend a little bit of time
7 on this first bullet because I think this is
8 one of the biggest misconceptions about what we
9 do when we apply coworker data in dose
10 reconstructions. And first I want to talk
11 about what we do not do.

12 We do not take data from a monitored worker, an
13 individual monitored worker, and apply it to an
14 individual unmonitored worker. That has to be
15 done very, very carefully. You have to be
16 comfortable that those two workers did similar
17 duties and received similar doses, and we don't
18 normally have the degree of comfort that would
19 let us do that. So I -- I know that some
20 people think that that -- that that might be
21 what we would do, that we would take monitored
22 data -- data from a monitored person and apply
23 it to an unmonitored person. We do not.
24 To make sure that we are being claimant
25 favorable in applying coworker approaches,

1 instead we look at the distribution of
2 monitoring data that exists from all workers at
3 a particular site for that particular time
4 period.

5 So to put this into more concrete -- concrete
6 terms, if you think of a site like perhaps
7 Hanford where you have a number of people who
8 are monitored, thousands of people who are
9 monitored in a particular year, say -- I don't
10 know, 1966. If you have an unmonitored worker
11 in 1966, a person who was not monitored, and on
12 DOE sites these tend to be people who had lower
13 exposure potentials. Now I don't want to over-
14 generalize that statement. But in general, at
15 least at the DOE sites they made an attempt to
16 capture the most exposed people in their
17 monitoring programs.

18 So we look at the distribution of everyone who
19 was monitored for a particular period, and we
20 pick a claimant-favorable percentile value.
21 And what I mean when I say that, usually we use
22 the 95th percentile unless we have some pretty
23 solid evidence to use another value. So in
24 true scientific fashion you might ask well,
25 okay, now we've got a situation, we've got a

1 technique set up; let's try to poke some holes
2 in it, so where would this be inappropriate to
3 apply coworker data with this methodology?
4 Well, first of all, the unmonitored worker
5 would not only have to have received a
6 significant dose, but he would have had to
7 receive a dose that was higher than 95 percent
8 of the monitored population. And that's why we
9 pick that 95 percent because that's really not
10 a very credible scenario, in most cases. The
11 monitored people tend to be the process
12 operator types, and so they've received the
13 highest doses at the sites and we further
14 ensure that by using the 95th percentile.
15 Okay, now to a more specific example of this.
16 I've been talking in generalities. This is a
17 topic of great interest to certainly a subset
18 of our claimants, and this is the construction
19 trade workers, and we have just issued -- just
20 finalized TIB-52, and so this is a subset of
21 unmonitored workers that we're attempting to
22 come up with some methodologies that would let
23 us perform their dose reconstructions.
24 Now the purpose of this TIB is to -- I'm sorry,
25 TIB, Technical Information Bulletin. The

1 purpose of this TIB is to allow us to perform
2 dose reconstructions for unmonitored
3 construction and trade workers, and I'm going
4 to talk to you right now about who that
5 includes. Here's a list of about a dozen job
6 titles that characterize the construction trade
7 workers. (Unintelligible) from laborers,
8 mechanics, pipe fitters -- I'm not going to
9 read through the whole list, but there are
10 about a dozen there. And as Larry told you
11 before the break, we have about 4,120 claims
12 from construction trade workers. We've
13 completed dose reconstructions on about 3,200
14 of those, and about 900 are still awaiting a
15 dose reconstruction. So this is a sizeable
16 group of our claimants.
17 Construction trade workers could have worked on
18 a DOE site at any time period. We haven't
19 limited this to any particular years. And they
20 could have been employed by an M&O or by prime
21 contractors or even subcontractors, and they
22 may or may not have been monitored.
23 We have several sources of data available to us
24 to come up with the methodologies that we're
25 going to use to do dose reconstructions for

1 these folks. At Rocky Flats we had electronic
2 databases for both internal and external. And
3 similarly at Savannah River, we also had that
4 data; the three sites in Oak Ridge, and also at
5 Hanford. And for the Idaho National Laboratory
6 we had external data. And when I say this, I'm
7 not saying that there aren't data for other
8 sites. I'm only saying that this data was
9 available to us in readily-retrievable time
10 frame to allow us to conduct -- or to construct
11 this coworker TIB.

12 Okay, first of all, external data. I know most
13 of the Board members and -- this might be new
14 to you. When we talk about external data,
15 we're talking about radiation that you receive
16 from sources outside of your body. We looked
17 at data for the construction -- the subset of
18 workers who are construction trade workers, and
19 we also looked at the external data for all
20 monitored workers. That includes the
21 construction trade workers and others. And we
22 took the ratio of the construction trade
23 workers, the CTWs, and compared those to the
24 all monitored workers, AMWs, at the 95th
25 percentile because that's going to be at the

1 most relevant metric for this TIB and we wanted
2 to ensure we were being claimant-favorable to
3 the CTWs. And we plotted this ratio on an
4 irregular basis.

5 Well, that didn't turn out too bad. Okay,
6 there's a lot of things on this slide that I
7 want to make sure and point out to you. First
8 of all, this is dose that is aggregated over
9 five different sites. Those five sites are the
10 three sites in Oak Ridge -- X-10, K-25 and Y-12
11 -- and also the Savannah River Site and the
12 Rocky Flats Plant. And this shows the external
13 dose at the 95th percentile across the years of
14 operation of those sites. And if I don't push
15 the wrong button -- here we go. This curve on
16 top with the circles represents all monitored
17 workers. The curve on the bottom with the Xs
18 represents the construction trade workers.
19 And one thing that I want to point out to you
20 is this line right here (indicating). We had a
21 lot of data available, 200,000-plus dosimetry
22 histories for construction trade workers and
23 over a million for all monitored workers. So
24 we had extensive data available to us.
25 Another trend that I want to point out to you

1 is in the early years there's some bouncing
2 around, but you see a general decline in
3 external dose as we approach the present day.
4 And also, at least for this aggregate data, you
5 can see that in general -- and there are a few
6 years that are exceptions -- but in general the
7 all monitored workers are above the
8 construction trade workers. Now there are
9 certainly a few years here that that is not
10 true, and that's what we were concerned about.
11 We want to make sure that we are claimant-
12 favorable to the construction trade workers, so
13 we were particularly interested in those
14 particular years where the all monitored
15 workers did not bound the construction trade
16 workers.
17 Oops -- uh-oh, I'm going to have to put this
18 down and go back.

19 (Pause)

20 Okay. We used this data, the data that I just
21 showed you in the previous slide, to determine
22 an adjustment factor. And this is a factor
23 that we're going to apply to the all monitored
24 worker data to ensure that we're being claimant
25 favorable to the construction trade workers.

1 And we looked at those few DOE sites where that
2 ratio of construction trade workers to all
3 monitored workers was greater than one, and
4 those represent the situations where the
5 construction trade workers had higher dose than
6 the all monitored workers. And we looked at
7 that prior to 1961 because, as I showed you on
8 a previous slide, that was the years of highest
9 exposure for the worker populations. During
10 the later years the doses were actually
11 significantly lower.

12 Now the maximum value that we observed for that
13 ratio in those years was approximately 1.4, and
14 what we propose to do is to apply that 1.4
15 adjustment factor to the all monitored workers
16 at a particular site. Now this I -- this I
17 want to emphasize. If you -- if we have an
18 unmonitored construction trade worker from say
19 Fernald, we are going to take the coworker data
20 from Fernald and apply this 1.4 adjustment
21 factor, just to make sure that we're being
22 claimant favorable to the construction trade
23 workers.

24 Now the internal dose side. This is from
25 material that has gotten into your body, either

1 ingested, inhaled or injected through wounds.
2 What we found here is that the construction
3 trade workers and the all monitored workers
4 were very similar in almost all cases; similar
5 enough that we were comfortable using all
6 monitored workers to apply to the construction
7 trade workers.

8 Now the exception is Hanford. I see Wanda's
9 ears perking up already. At Hanford the
10 construction trade workers seldom were included
11 in the routine bioassay program. More
12 frequently the construction trade workers
13 received bioassays in special situations where
14 an intake was suspected. And so that -- that
15 fact led us to conclude that using the
16 construction trade worker -- the construction
17 trade worker data at Hanford would be biased
18 high. So we wanted, again, to ensure that we
19 were being favorable to the construction trade
20 workers, we proposed to -- at Hanford --
21 multiply the coworker data by a factor of two
22 to make sure that that adequately bounds the
23 construction trade workers.

24 Okay. So the guidelines that OTIB-52 provides
25 for conducting dose reconstructions for these

1 individuals is to apply an adjustment factor of
2 1.4 for the -- for the external data, and to
3 use -- also we're going to use the 95th
4 percentile unless there's very compelling
5 reason to use something different. And again,
6 that is going to be applied to the site-
7 specific coworker data.

8 We're going to use the all monitored worker
9 internal data to apply that to the construction
10 trade workers, and for Hanford we're going to
11 double the results of the internal coworker
12 data.

13 So to summarize, we now have this -- this
14 table's been finalized and issued, and so we're
15 going to begin to process cases using this TIB
16 for those approximately 906 construction trade
17 workers who are awaiting dose reconstruction.

18 Okay, just very briefly in these last few
19 slides I'm going to tell you about a topic -- a
20 few topics that we are currently investigating.
21 So I don't have results to tell you about, I
22 just have a status report for you.

23 Okay, I guess the first, most obvious, question
24 is what in the world is oro-nasal breathing.

25 These are two topics that came up in the

1 context of the Bethlehem Steel site profile
2 review, and oro-nasal breathing -- well, I'll
3 get to that on the next slide. I'm getting a
4 little ahead of myself.

5 We came up with a temporary -- I don't want to
6 call it a temporary, but a limited solution for
7 these issues at Bethlehem Steel, but we
8 recognized that this is an issue that's not
9 limited to Bethlehem Steel. And so we have
10 been working on a resolution for those other
11 sites other than Bethlehem Steel.

12 So first of all, oro-nasal breathing. The
13 ICRP, which is the expert -- international
14 expert body dealing with radiation protection,
15 they describe about 85 percent of the
16 population as nasal augmenters. And what that
17 means is that 85 percent of us breathe mostly
18 through our mouth -- most of us are mouth
19 breathers, but especially when activity levels
20 increase we start to supplement our breathing
21 through our nose, so this is what oro-nasal
22 breathing is. Fifteen percent of us are pure
23 mouth breathers. So ICRP has issued a lung
24 model, ICRP-66, and those parameters in that
25 model are affected by such factors as the

1 breathing rate, the breathing mode, and
2 particle size -- particle characteristics.
3 When we look at standard-setting bodies, bodies
4 that set dose limits, they typically do not
5 consider mouth breathers because they're a
6 small percentage of the population. Well,
7 again, we want to make sure that we are
8 adequately capturing the uncertainty in
9 internal doses, so we are certainly interested
10 in the impact of oro-nasal breathing on our
11 internal dose reconstructions.
12 Now just to give you an example -- I know you
13 probably can't see the details here, but just
14 to give you an example why we might be
15 interested in this, this left panel shows nasal
16 augmenters -- that's 85 percent of us -- and
17 you can see that for the pure mouth breathers
18 it's a little bit higher in some situations.
19 So again, we do have reason to want to make
20 sure that we are not underestimating anyone's
21 internal dose.
22 Okay, the next topic -- the last topic -- is
23 ingestion. Now this is one of three modes of
24 intake. In other words, how can I get
25 radioactive material inside my body. Well,

1 one, I can inhale it. If it's a dusty
2 environment like a uranium -- where they're
3 machining uranium metal, it generates dust; I
4 can inhale that. That's one method.
5 I might be unfortunate enough to get a splinter
6 of radioactive material in say my finger.
7 That's injection. That's the second method.
8 This is the third method. If I get dust on my
9 hands and I rub my lips and then I lick it,
10 swallow that, that's ingestion. I might eat
11 contaminated foodstuffs. My lunch was sitting
12 out and the dust -- radioactive material
13 settled on my sandwich and I ate it. This is
14 ingestion.
15 So this is the next issue that also surfaced
16 during the Bethlehem Steel site profile review
17 that we are interested in. Now typically in a
18 laboratory setting this is not a large source
19 of intake, but the same cannot necessarily be
20 said of AWE employers because there were far
21 less rigorous controls at the AWE employers, so
22 we're very interested in this issue in
23 particular for those types of operations. And
24 we have addressed this ingestion issue on
25 specific case by case bases in our TIBs and our

1 TBDs, but we recognize that we need to come up
2 with a more cross-cutting approach to this
3 issue.

4 So for both of these issues we are evaluating
5 their impact on our dose reconstructions. We
6 are working with our contractors at EG&G to
7 look at both of these issues, to conduct
8 comprehensive literature reviews -- and that'll
9 be one product, is the literature review. I
10 think we anticipate completing that by the
11 middle of October. And then hopefully by the
12 end of this year we'll follow on with technical
13 reports that deal with both of these issues.
14 And that is the end of what I have, so I'll be
15 happy to entertain questions.

16 **DR. ZIEMER:** Thank you very much, Brant. Let's
17 open the floor for questions. John Poston.

18 **DR. POSTON:** Brant, there's -- I see another
19 reason that Jim Neton should hurry back.

20 **DR. ULSH:** Uh-oh.

21 **DR. POSTON:** Nice presentation.

22 **DR. ULSH:** Thank you.

23 **DR. POSTON:** It seems to me that, even though
24 you tried to separate these into several
25 different categories, that your ingestion and

1 your mouth breathing are really two horses in
2 the same garage, as my advisor used to say -- I
3 had not a clue what that meant, but anyway --
4 because if you breathe through your mouth, the
5 most likely pathway is ingestion, not
6 inhalation, for the materials that you take
7 into your -- into your mouth. So have you
8 given some thought or -- to that or are you
9 really going to try to separate these into two
10 -- two issues?

11 **DR. ULSH:** We certainly have given that some
12 thought; thank you, Dr. Poston. I certainly
13 don't have the internal dosimetry expertise of
14 Dr. Neton, but I -- I do understand that the
15 ICRP-66 model does also include for the
16 mechanism of ingestion. When materials are
17 inhaled, some of that, especially the larger
18 particles, are -- they come back up through the
19 tracheal bronchial pathways and they are
20 swallowed and ingested, so that is certainly a
21 consideration that we are keeping in mind as we
22 approach these issues.

23 **DR. POSTON:** And this can be a yes or no
24 question. My recollection was that ICRP-66
25 included considerations for things like mouth

1 breathers, pregnant women, those kinds of
2 things -- people that didn't breathe normally,
3 let's say -- as their reference person. Is
4 that correct?

5 **DR. ULSH:** I think that is correct. Perhaps it
6 wasn't -- it was a little less clear than it
7 should have been on my slide. ICRP-66 does
8 include those kinds of parameters. However, in
9 standard-setting bodies, as I understand it,
10 don't typically explicitly consider mouth
11 breathers.

12 **DR. POSTON:** Do you anticipate any change in
13 the particle size considerations, because that
14 was one thing that the ICRP did, they went from
15 one micron to five microns in their new model,
16 and that certainly impacts the distribution of
17 the particles that one would inhale and the --
18 the whole respiratory system.

19 **DR. ULSH:** I think that is certainly an issue
20 that we're going to consider in our evaluation.
21 We tend -- we tend to be very careful about
22 crossing ICRP. If we depart from ICRP guidance
23 we certainly want to have a good basis for
24 doing that, so we're going to approach that
25 issue very carefully.

1 **DR. POSTON:** And one final comment that doesn't
2 require a response. It seems to me with these
3 adjustment factors that you're proposing,
4 you're bending over backwards to make it
5 compensable, and so that seems to me you're
6 really trying to work hard to -- to make the
7 doses perhaps very fair and reasonable to the -
8 - for the construction workers. Thank you.

9 **DR. ULSH:** Thank you.

10 **DR. ZIEMER:** Okay, Dr. Melius and then Dr.
11 Lockey.

12 **DR. WADE:** Let Jim go first.

13 **DR. ZIEMER:** Okay, Dr. Lockey will go first.

14 **DR. LOCKEY:** I have a couple of questions.
15 One, when you look at inhalation, in the nose
16 two-thirds back it goes to the (unintelligible)
17 pharynx and is swallowed -- in the mouth is
18 swallowed and any large particles
19 (unintelligible) permanently deposited and
20 eventually are swallowed, too, through the
21 endobronchial tree through mucociliary
22 transmechanism, so the swallowing mechanism is
23 going to take place nasal breathing, oral
24 breathing and from the lower respiratory tract,
25 so how do you take that in your model?

1 **DR. ULSH:** I don't know. You've just gotten so
2 far down the route that I can't answer that.
3 Sam Glover is our NIOSH internal dosimetry
4 expert, and I would have to go back to Sam and
5 get some clarification on that.

6 **DR. ZIEMER:** Probably John can answer this, but
7 the lung models do assume a certain percent of
8 clearance by swallowing and it's -- it's
9 particle-size dependent. Those big particles
10 come up the -- what's it called, the tracheal
11 bronchial (unintelligible) --

12 **DR. LOCKEY:** Mucociliary, right. Well, the
13 models include the consideration of the
14 mucociliary escalator. They also include the
15 macrophages and -- what about the upper airway,
16 what about the two-thirds -- back two-thirds of
17 the upper level goes back (unintelligible)
18 pharynx.

19 **DR. POSTON:** That typically is -- would -- in
20 the model, if it's particulates, then it would
21 actually be inhaled, but some of it would be
22 cleared to the -- to the gastrointestinal
23 tract.

24 **DR. LOCKEY:** Well, the upper level clears your
25 large particulates, so the large ones are going

1 to go to the GI tract.

2 **DR. POSTON:** Now the model that they use now in
3 the ICRP-66 model is pretty complex. I'm not
4 sure it's any better than the old model, but
5 it's -- they do try to take all that into
6 account.

7 **DR. LOCKEY:** I have one other question. On --
8 on the slide it looks at external dose aggre--
9 aggregated over five major sites. Can you pull
10 that slide up for me?

11 **DR. ULSH:** Yes, sir. This one?

12 **DR. LOCKEY:** Correct. As I understand it -- I
13 mean I looked at approximately 1960 and the
14 construction worker estimates there really
15 dropped down in 1960. Are you going to apply
16 the 1.4 factor -- how are you going to apply
17 that (unintelligible) 1960?

18 **DR. ZIEMER:** We are going to apply the 1.4
19 factor across all years. Now one thing that I
20 want to point out here, Dr. Lockey, is that
21 this is aggregated data. And the reason that
22 we are applying that 1.4 factor across all
23 years is that if you look at the specific
24 sites, the individual sites in individual
25 years, that is the maximum -- that will ensure

1 that we capture -- bound the construction trade
2 workers for those years. So in effect, this
3 graph is -- it's aggregated the sites, but you
4 do see individual years at individual sites
5 where all monitored workers are not bound --
6 that they don't bound the construction trade
7 workers. That's why we're going to apply that.

8 **DR. LOCKEY:** Well, nevertheless, if I was a AMW
9 -- okay?

10 **DR. ULSH:** Uh-huh.

11 **DR. LOCKEY:** And from this graph, they have --
12 it would appear to me -- a significant greater
13 exposure than the construction workers after
14 1960, how is that going to be taken by that --
15 the AMW workers? Because in fact what you're
16 doing is assigning a higher dose to that period
17 of time -- substantially higher dose based on
18 what this graph shows -- in comparison to the
19 regular workers on the plant site five seven --
20 five days a week.

21 **DR. ULSH:** So you're approaching this from the
22 standpoint of a non-CTW saying how am I going
23 to get -- how is that fair to me when --

24 **DR. LOCKEY:** Correct. I understand before 1960
25 because the data supports that.

1 **DR. ULSH:** Sure.

2 **DR. LOCKEY:** But after 1960, I just want to
3 know how you're going to approach that
4 question.

5 **DR. ULSH:** I understand. I'm fortunate enough
6 to have Mel Chew, who is a subject expert on
7 this particular TIB, and I'm going to ask Mel
8 to field that question.

9 **MR. CHEW:** (Off microphone) (Unintelligible)
10 try and understand the question again.

11 **UNIDENTIFIED:** (Unintelligible) the mike, Mel.

12 **MR. CHEW:** Thank you. Please ask the question
13 again so I make sure I understand your
14 question, Dr. Lockey.

15 **DR. LOCKEY:** Looking at this graph -- I mean I
16 understand the rationale for the 1.4 1960 and
17 before.

18 **MR. CHEW:** Yes, sir.

19 **DR. LOCKEY:** Okay? After 1960, at least based
20 on this data, it would indicate to me that
21 construction workers, based on available data,
22 have substantially lower exposure than the
23 other workers. If you're going to apply the
24 1.4 figure to the construction workers after
25 1960, if I was a AMW worker I would like some

1 explanation about that because what you're
2 doing is then over-- you're saying the
3 construction workers have substantially higher
4 exposure than the workers at the plant site on
5 a regular, ongoing, daily basis after 1960.

6 **MR. CHEW:** Okay, let me -- let me try to answer
7 your question here. I think --

8 **DR. WADE:** Stick close to the microphone and
9 keep it close to your mouth, please.

10 **MR. CHEW:** Maybe we should look at the graph.
11 Brant very clearly said that this is a
12 composite of -- of many sites here, and so in
13 the first place, none of these particular
14 values are not the real exposures for that
15 particular site, but a composite of the sites.
16 Huh? But it does show what you're -- you're
17 asking about.

18 In the early -- prior to -- in the 1960 time
19 period there was considerable amount of work
20 with construction workers on those particular
21 sites, like Hanford, Savannah -- and ORNL that
22 basically the construction worker was working
23 on those particular sites and did receive, you
24 know, doses very similar to your unmonitored
25 worker. Okay? And that's very clear.

1 One of the things that show that the
2 construction workers came down very quickly and
3 right after 1960 is a very interesting
4 artifact, and we lis-- we studied that very
5 carefully and it's -- at some of the sites in
6 the early days they com-- they basically took
7 some of the people -- they monitored the people
8 who had the highest potential for exposure.
9 Okay? So not everyone necessarily was badged
10 in that particular time. For that -- tho--
11 1960 period, many of the dosimeters were
12 incorporated in the security badge, and so a
13 lot of people were monitored. Right?
14 Including construction workers who came onto
15 the site and all monitored worker. Right? And
16 so we do a composite of the data that -- it
17 looks like the construction workers drop, but
18 that possibly is -- that is due to the larger
19 number of construction worker monitored which
20 had very little (unintelligible) doses, and
21 that explains the composite of the -- of the
22 exposures here and so maybe I'm -- I hope I'm
23 answering your question here.

24 **DR. WADE:** I'm not sure.

25 **MR. ELLIOTT:** If I could help you, if I could,

1 this graph a cumulative of all the dose for
2 construction trade workers and all monitored
3 workers across the DOE complex for those sites
4 that we had readily-available data for.
5 Correct?

6 **MR. CHEW:** Right.

7 **MR. ELLIOTT:** This graph is not going to be
8 used to assign dose to unmonitored construction
9 trade workers for a given site. We'll use the
10 individual data from that site. And your
11 question is still pertinent, I believe, because
12 at some site-specific instances the all
13 monitored worker data will be lower than what
14 we would assign under a factor of 1.4, and so
15 that I think is the root of your question --
16 the thrust of your question. Does that help?

17 **MR. CHEW:** Can I --

18 **DR. LOCKEY:** Yes.

19 **MR. CHEW:** And I think when you see the
20 individual sites in the OTIB, when you get a
21 chance to look at it, then I think that makes
22 more sense because, as I said, this is a
23 composite of...

24 **DR. ZIEMER:** But what Dr. Lockey appears to be
25 asking is if I'm an unmonitored worker who is

1 not a construction worker and I get the -- do I
2 then get an assigned dose that is less than a
3 construction worker very clearly for -- if --
4 if there's a construction worker that same year
5 at the site and his dose now is assigned at
6 some value, say it's 150, and do I get assigned
7 100, even though looking at the data it says it
8 ought to be the other way around is what you're
9 saying.

10 **MR. CHEW:** Yes, I think -- I think --

11 **DR. LOCKEY:** That's correct --

12 **MR. CHEW:** Oh, that's --

13 **DR. LOCKEY:** -- that didn't -- doesn't seem to
14 be...

15 **DR. ZIEMER:** Or why don't I get a higher dose
16 assigned since my construction worker colleague
17 got a certain value.

18 **MR. CHEW:** I think, Dr. Ziemer,
19 (unintelligible) asking you, this is a good
20 comment. The previous scenario that the
21 unmonitored construction worker could --
22 because of the artifact that we're applying the
23 1.4 -- get a higher exposure assigned to him or
24 her over the all monitored worker. I think
25 that's your particular point. Yes, again, that

1 is true and -- and that -- that's something I
2 think NIOSH is prepared to accept, right?

3 **DR. ZIEMER:** Well, let me add to that comment.
4 I -- I believe that under the NIOSH approach,
5 both workers get an exceedingly generous
6 assignment of dose.

7 **MR. CHEW:** Right.

8 **DR. ZIEMER:** One appears to be more generous
9 than the other, but nonetheless --

10 **MR. ELLIOTT:** It is something we are aware of
11 where we -- we've recognized this anomaly,
12 we're not sure -- as we apply this we'll be
13 monitoring when and where this particular
14 scenario presents itself. We're going to have
15 to look at that in greater detail. But in
16 order -- the tension here is trying to treat a
17 number of claims where we have no data, and do
18 so as expeditiously as possible. And as we
19 proceed with this, we're going to have to
20 examine that closer.

21 **DR. ULSH:** One more point, one more perspective
22 perhaps, that comes to bear on this is that
23 it's certainly true that there are individual
24 situations where we will be giving a higher
25 dose to the CTWs than the all monitored

1 workers. But we felt that we had to do that to
2 ensure that there was no case where we were
3 shortchanging the CTW, so that was -- that was
4 why we concluded that we really needed to do
5 that.

6 **DR. ZIEMER:** And Dr. Melius, did you have a
7 follow-up?

8 **DR. MELIUS:** Yeah, have a number of questions.
9 This exercise you went through, this TIB is
10 based on I believe six sites where you had
11 data. True?

12 **DR. ULSH:** We actually had seven sites. This
13 particular graph shows five sites.

14 **DR. MELIUS:** Okay, and so forth. And what is
15 the problem at the other sites?

16 **DR. ULSH:** I'm glad I've got Mel standing
17 beside me because I'm going to let him --

18 **DR. MELIUS:** Either one of you can answer, I
19 don't (unintelligible).

20 **DR. ULSH:** Okay. These -- these seven sites,
21 five on this graph, were the sites where we had
22 the data in a form that -- that was readily
23 retrievable. And also these sites represent a
24 wide spectrum of activities across the DOE
25 spectrum, so they represent production sites --

1 like, for instance, Rocky Flats and Hanford.
2 They also represent national labs, like for
3 instance ORNL. So we wanted to capture the
4 sites that represented the range of activities
5 across the DOE complex.

6 Mel, do you have anything to add to that?

7 **MR. CHEW:** Yeah, I'd like to (unintelligible)
8 that was a very good question. These sites
9 were selected, in addition to what Brant is
10 saying, they have available information that we
11 can pull construction worker out of the -- the
12 general data point. But they were also -- you
13 look at -- these are the big sites that major
14 activity -- you know, Hanford clearly with the
15 reactors and separation, Savannah River, INEL
16 is in there, Y-12 and K-25. And those we felt
17 -- we went after that particular data because
18 there was a lot of construction work being done
19 in those early years and represented what we
20 felt was (unintelligible) -- or represented at
21 least to do the comparison, and I think
22 (unintelligible) real point to Larry, when it
23 really comes down to actually doing the dose
24 reconstruction for an individual site not on
25 the list, that particular information available

1 for that site will be used.

2 **DR. MELIUS:** Yeah, okay. Did -- as part of
3 this effort did you make any -- try to do any
4 comparison or look at the type of job duties or
5 work that was done by the monitored versus the
6 unmonitored workers -- construction workers,
7 and did you do any breakdown by type of work,
8 or is everything just lumped and you're just
9 using what -- it just purely, you know, an
10 exercise based on what monitoring data's
11 available?

12 **MR. CHEW:** I think there was a slide that we
13 were pulling out information with who people
14 were construction trade workers, clearly. And
15 I think even in some of our early presenta--
16 well, not this presentation -- we can even go
17 down to the subset like, you know, looking at
18 laborers, pipe fitters and painters here. So
19 construction workers were -- clearly tried to
20 be identified, not only if they had worked for
21 a subcontractor that came into the site -- you
22 know, it was contracted -- but they could have
23 been working for the prime or M&O contractor
24 doing construction work. And so going back to
25 the dataset to identify categories of people,

1 department and job descriptions was all part of
2 this data analysis.

3 **DR. MELIUS:** But aren't you making an
4 assumption that to some extent the monitored
5 are the same -- and the unmonitored workers are
6 -- fall into the same general type of work as
7 the monitored?

8 **MR. CHEW:** Yes, I think that's -- that's --

9 **DR. MELIUS:** And did you do any sort of
10 analysis to try to -- did you look at the type
11 of work that they did, the -- the contractor
12 that they worked for, any...

13 **MR. CHEW:** Yes, to -- you know, to some
14 qualitative level here, especially at those
15 particular sites where we saw high exposures to
16 con-- to construction workers we tried to
17 identify what activity caused that. And so to
18 -- I'm trying to answer that question, example
19 like at Hanford, I think Wanda can attest to
20 that in the early years when the -- both the
21 reactors and the separation facilities were
22 going on, there was a considerable amount of
23 construction because of the changing processes
24 at Hanford while construction workers were
25 still doing that particular work, the

1 processors were operating. So we try to
2 identify when we see certain types of -- the
3 doses when we see by construction worker, we
4 went down to the next level to try to identify
5 what happened at Oak Ridge, ORNL and what
6 happened at Hanford or what happened at
7 Savannah River to that level.

8 **DR. MELIUS:** Yeah, but you really have no
9 information on the unmonitored workers.

10 **MR. CHEW:** Well, I think that's an assumption.

11 **DR. MELIUS:** Yeah, I mean --

12 **MR. CHEW:** Yeah.

13 **DR. MELIUS:** -- what's the assumption? Tell me
14 the assumption 'cause that's...

15 **DR. ULSH:** To answer your question about
16 whether or not we observed any difference
17 between the unmonitored CTW and the monitored
18 CTW, I don't know that we've looked at that
19 quantitatively to determine whether there were
20 more pipe fitters in the unmonitored and more
21 painters in the monitored. However, to the
22 extent that one can accept the assumption that
23 monitored workers were selected based on their
24 exposure potential, that would also apply to
25 CTWs. I know that that is a -- that is a point

1 of some contention, but --

2 **DR. MELIUS:** Yeah, but have you done anything
3 to verify that assumption? I mean that's
4 the... Seems to me that you'd be able to look
5 at job histories and so forth and type of work
6 that people did and if people were doing, you
7 know, landscaping outside the facility, that
8 would be -- I'd say less potential for
9 exposures, maybe not requiring monitoring, as
10 opposed to someone doing a high-exposure job in
11 the facility.

12 **MR. CHEW:** Sure. I think the -- one of the
13 data we pulled for the construction trade
14 workers were the one who were monitored. Okay?
15 And these were the one that had -- wore the
16 badge with -- and so, you know, we -- and that
17 basically applies that certainly the programs
18 would say these are the construction workers
19 that needed to be monitored and therefore they
20 were monitored. That's where the data was
21 pulled from. We would probably again, you
22 know, skew it to the high side if you look at
23 the general construction worker. The person
24 who's doing, you know, landscaping would be --
25 may not necessarily have been monitored.

1 **DR. MELIUS:** Right, and I'm just trying to get
2 the sense of did you actually look at that
3 'cause --

4 **MR. CHEW:** Yeah.

5 **DR. MELIUS:** -- where you have such a large
6 number of people that weren't monitored, which
7 is I think true for the construction workers,
8 we're trying to get a sense of how
9 representative this is, you know, sample that
10 you've drawn from -- from the monitoring data.
11 It doesn't include a lot of sites, it -- you
12 know, limited number of sites 'cause it's what
13 was readily available, and I think there are
14 questions on, you know, all sides from the
15 question of is -- is applying a single
16 adjustment factor the appropriate approach.
17 And -- and you know, I think you need to go a
18 little bit, you know, deeper into your
19 justification for that. Should there be an
20 adjustment factor based on the site, should
21 there be -- by the type of work. And this is
22 supposed to be individual dose reconstructions.
23 It's not supposed to be, you know, a single
24 value fits everybody. And I think we're trying
25 to -- trying to get at how much work you've

1 done to try to really validate this approach.

2 **MR. CHEW:** I understand your point. Thank you.

3 **DR. ZIEMER:** Okay. Brad, you have a comment?

4 **MR. CLAWSON:** Yeah, this is going to be an easy
5 one. I just wanted to get back to the coworker
6 model that you were talking about on that a
7 little earlier 'cause I'm not quite clear on
8 that. Say we've got a group of say operators
9 that you -- you only have doses for half of
10 them and the other half you have nothing for.
11 You're going to take that half and you're going
12 to take the 95 percentile of that, or -- I -- I
13 guess that's where I got misunderstood.

14 **DR. ULSH:** No, what we're going to do is take
15 the entire monitored population and look at the
16 95th percentile -- in general the 95th
17 percentile -- and apply that to the unmonitored
18 worker. So we don't do it by specific job
19 title -- like for instance the process
20 operators or for, you know, fuel handlers or
21 brushers or anything like that. Does that
22 answer your question?

23 **MR. CLAWSON:** Yeah, I was just wondering
24 because I thought that you mentioned with the
25 same job category, that you were -- you were

1 going to use the same job category and take the
2 95 percentile of that because --

3 **DR. ULSH:** In general we're going to look at
4 the entire monitored population, not just --
5 not by job title.

6 **MR. CLAWSON:** Not just one group, because --

7 **DR. ULSH:** Not just one group, right.

8 **MR. CLAWSON:** 'Cause I can tell you in my group
9 right now we've got people that are maxed and
10 people that are zero, and that was just kind of
11 an issue.

12 Another question I had was with the
13 construction workers, are they falling into
14 this 250-day period, too?

15 **DR. ULSH:** Are you talking about in terms of
16 eligibility for SEC?

17 **MR. CLAWSON:** Right.

18 **DR. ULSH:** Sure -- yes, they would also --

19 **MR. CLAWSON:** Even -- even if they -- 250 days
20 total throughout the sites?

21 **MR. ELLIOTT:** No, no, no, no, no, this is --
22 this is dose reconstruction. You're talking
23 SEC. We're not talking SEC. Okay?

24 **MR. CLAWSON:** Okay.

25 **MR. ELLIOTT:** If a construction trades worker

1 fits into one of the SEC classes, they have to
2 meet that class definition. If the class
3 definition requires 250 days for health
4 endangerment, they would have to meet that.
5 But this construction TIB doesn't deal with the
6 SEC issue.

7 **MR. CLAWSON:** Doesn't deal with the SECs, okay.

8 **DR. ZIEMER:** Dr. Lockey, you had an additional
9 comment or question?

10 **DR. LOCKEY:** Yeah. What would be helpful to
11 understand that one particular graph is the
12 denominator across the years. How many -- how
13 many annual dose reconstruction for
14 construction workers were done per year based
15 on how many were available, or how many
16 actually worked? So we can see how you -- how
17 this data -- what -- what -- how's -- what are
18 the -- the data that this graph is based on. I
19 can't tell from this. I can't tell if -- if
20 the majority of the doses that were used are in
21 the later years or in the earlier years. What
22 percentage -- how would you divide this out
23 percentage-wise?

24 **DR. ULSH:** Okay, let me -- let me make sure
25 that I understand your question. So what

1 you're asking is for a particular year, say
2 1970, how many actual CTW histories did we look
3 at in that year, and the same question for all
4 monitored workers in that year. Is that --

5 **DR. LOCKEY:** Based on how many CTW workers
6 there were. I mean --

7 **DR. ULSH:** Ah, I see, okay. So --

8 **DR. LOCKEY:** -- a denominator.

9 **DR. ULSH:** Yeah, I understand what you're
10 saying.

11 **DR. LOCKEY:** So I can know -- I know how robust
12 your data is to generate this -- this graph. I
13 can't tell from this -- this graph how robust
14 your data is.

15 **DR. ULSH:** So in other words, what percentage
16 of the CTW population was actually monitored,
17 and the same for all monitored workers, is that
18 --

19 **DR. LOCKEY:** Correct.

20 **DR. ULSH:** -- sort of what you're asking?

21 **DR. LOCKEY:** Correct.

22 **DR. ZIEMER:** The numbers at the top are sort of
23 the integrated values for the whole curve. Is
24 that correct? That is, the 216,000 histories,
25 that's the integral of those individual points,

1 I guess.

2 **MR. CHEW:** There is now being worked a -- what
3 I consider an appendix to this part-- to the
4 OTIB-52 to give the supporting information that
5 generated all the graphs that you have seen
6 here from the OTIB, including this particular
7 one here. And at that time those particular
8 back-up information will give the number of CTW
9 that were monitored and the number that receive
10 exposures and also the number of AMWs for any -
11 - for each year. Okay? It will be backup
12 information here.

13 **DR. LOCKEY:** Does it give you the denominator,
14 too?

15 **MR. CHEW:** I'm sorry, say it --

16 **DR. LOCKEY:** How many construction workers were
17 on site versus how many were monitored in any
18 one particular year.

19 **MR. CHEW:** Yes, it will give the number of
20 construction workers that were there identified
21 and the number who were monitored.

22 **DR. LOCKEY:** Okay.

23 **MR. CHEW:** Yes, it will do that. All right?
24 And it'll be for each particular site.

25 **MR. ELLIOTT:** If you go through the OTIB-52,

1 which I have right here, you will see by site
2 tables that list the observed ratios -- in
3 other words, the all monitored versus the
4 construction trade workers who were monitored,
5 the number that were monitored and number with
6 measurable dose. That doesn't give you all
7 that you're asking for, and that's the addendum
8 that I think Mel's talking about that we will
9 add to this. But if you have a chance to look
10 at this TIB-52, I think you'll get a better
11 explanation. Unfortunately, I think this slide
12 has presented more confusion than it has
13 clarity, so I'd encourage you all to look at
14 this TIB.

15 **DR. ZIEMER:** OTIB-52 is now on the web site, by
16 the way. It went on within the last couple of
17 weeks. The date on that TIB is August 31st, so
18 it is on there so Board members, it probably
19 would be worthwhile going through that TIB and
20 see if there are further questions.

21 Also I would mention to you in connection with
22 this, and we will have further opportunity to
23 discuss these issues, but we still have to
24 consider -- as a carryover from our August 8th
25 meeting -- the response to a letter that came

1 to us -- I'm looking for my copy, but anyway,
2 it's a letter from -- from Pete --

3 **DR. WADE:** Stafford.

4 **DR. ZIEMER:** -- Stafford with a number of
5 issues raised relative to construction workers
6 and also relates to some comments we received
7 from Knut Ringen I believe last time or our
8 last meeting and perhaps trying to deal
9 effectively with this issue of -- of the
10 unmonitored construction workers. So this is
11 kind of a first step is this TIB, and I think -
12 - to the extent that it can be refined or
13 improved -- that would be good then.

14 **DR. WADE:** If I might, just sort of from a
15 procedural point of view, to offer a potential
16 path forward for the kinds of questions raised
17 by Drs. Lockey and Melius, SC&A -- we will be
18 considering asking them to review additional
19 procedures. And I don't think, John, that TIB-
20 52 is on the list. I think it should be added
21 to the list, but I think later in this meeting
22 we'll have an opportunity to discuss whether or
23 not the Board wants to form a working group to
24 look at this or have SC&A review it, or both.
25 But I think certainly we want to see OTIB-52 as

1 a consideration for something to be reviewed by
2 the Board's contractor.

3 **DR. ZIEMER:** Thank you. Let's see, additional
4 comments, Dr. Melius or -- thank you. Dr.
5 Lockey, you have any follow-up? Brad, any
6 follow-up?

7 Thank you very much.

8 **DR. WADE:** While they're walking away, another
9 sort of procedural issue for later on the
10 agenda, the ingestion and the oro-nasal
11 breathing. These are issues that have come up
12 through site profile reviews, and I think the
13 Board needs to decide how it wants to track
14 progress on these issues when they sort of
15 leave the orbit of a particular working group
16 and are out there. So that's an agenda item on
17 Thursday where the Board is going to decide how
18 it wants to track cross-cutting issues, and
19 this would be a good example of what those
20 cross-cutting issues are.

21 **SC&A FUNDING AND ACTIVITIES FOR NEXT YEAR**

22 **DR. ZIEMER:** Our next item is a -- deals with
23 funding for the Board's contractor, SC&A, and
24 the upcoming activities for this -- this coming
25 year. And let's see, Lew and -- are you going

1 to kick this off?

2 **DR. WADE:** I'll just make very brief --

3 **DR. ZIEMER:** And David Staudt is here, who's
4 our contracting person.

5 **DR. WADE:** Right, David Staudt is the
6 contracting officer on the SC&A contract. He's
7 really the person with the wallet and the
8 person with the authority, and I asked David to
9 come and brief you on two issues. But first
10 he'll give you an update on where we stand in
11 terms of the funding for SC&A next year.
12 That'll be dollars and tasks.

13 What David will also remind us of is that we
14 need to be assigning SC&A specific work, such
15 as specific procedures to review or such as
16 specific site profiles to review. And once
17 David's finished, I can set the stage for you
18 as to how we might go about making those
19 decisions, give you information, and I think we
20 have that later on our agenda on Wednesday and
21 Thursday in Board working time to talk about
22 that more specifically. But I thought it'd be
23 well to start with David going through where we
24 stand on the SC&A contract based upon the
25 instructions they were given by the Board.

1 And then David's second contribution is going
2 to be to look at the conflict of interest
3 issues that have arisen relative to SC&A and to
4 report how those issues have been resolved.
5 David.

6 **MR. STAUDT:** Good afternoon. Just as a follow-
7 up to the meeting in August, I just want to let
8 you know that all the task order modifications
9 are in place so that the -- that the SC&A is
10 fully authorized to proceed as needed. And
11 just to quickly go over these, Task Order I, I
12 authorized for six site profile reviews for
13 next year.

14 **DR. WADE:** David, maybe if I could just point
15 people to -- there's a tab in their book, SC&A,
16 and if you flip through that tab you will find
17 this sheet that David is speaking to that
18 speaks to the individual tasks and the funding,
19 so -- sorry, David.

20 **MR. STAUDT:** That's okay. Yeah, there's five
21 active tasks, and continuing Task I there are
22 six new -- profile reviews, five are new ones
23 and also we had the Savannah River profile is
24 revised. Also included in this task is the
25 continuation of the closeout process for

1 existing site profiles.

2 Task Order II is complete, so we'll move on to
3 Task Order III. SC&A is going to review up to
4 30 new procedures and review the generic
5 workbooks and also we assume that you will --
6 the Board's going to follow the six-step
7 process in moving towards the finalization of
8 this task.

9 Task Order IV, SC&A will assume another full
10 round of dose reconstruction reviews will be
11 done next year, and this is going to include 60
12 dose reconstruction reviews. And I think as
13 you remember during our August 8th discussion,
14 we spent quite a bit of time, and we finished
15 with a revised Option 2B, and these had to do
16 with more discretionary audits were being
17 proposed.

18 Task Order V relates to the SEC work, and five
19 SEC reviews will be completed with Technical
20 Basis Documents and one without, and we assume
21 that SC&A is going to attend four full Board
22 meetings and four subcommittee meetings.

23 And Task Order VI is simply related to SC&A's
24 program management cost.

25 One of the things I just want to let you know,

1 this is good until October 1st, 2007, so SC&A's
2 ready to go. And to follow along with what Dr.
3 Wade spoke about, I think it would be very
4 beneficial to have John Mauro just quickly go
5 down each one of these tasks and let you know
6 where in the pipeline that -- that they need
7 approval. And he did speak very briefly this
8 morning related to Task Order IV, but I think
9 clearly he -- they are waiting for some
10 direction and it's holding them up.

11 So I don't know, Lew, if you want to think
12 about addressing that now or --

13 **DR. WADE:** I'd like to set the stage on that.
14 Let's -- let's turn our attention to Task Order
15 1. You have at your place a list of all of the
16 site profiles that NIOSH has done -- that's
17 this piece of paper. John Mauro has also
18 forwarded to you a list of all of the reviews
19 that SC&A has done or has underway. I think
20 the task for the Board is to decide what
21 additional five site profiles you would like
22 SC&A to begin to look at. And again, that's a
23 discussion we can have Wednesday or Thursday.
24 What I would like to have just a brief
25 discussion on now is what information would you

1 like staff to prepare for you when -- to have
2 before you when you undertake the discussion of
3 the additional five site profiles. You
4 remember we've asked SC&A to do six. One is a
5 revisit of Savannah River, and five additional.
6 In anticipation of this discussion I asked
7 NIOSH to list the total number of cases that
8 are currently in the system related to the site
9 profiles. And if you would like additional
10 information to that, then we can certainly
11 prepare that leading up to your discussions on
12 Thursday.

13 If you have that piece of paper in front of
14 you, I could just very quickly identify for you
15 the site profiles that SC&A has reviewed or is
16 in the process of reviewing. Starting at the
17 top of that list, Bethlehem Steel, the Savannah
18 River Site, Mallinckrodt Chemical Company, the
19 Hanford site, INEEL, Nevada Test Site, LANL,
20 Rocky Flats, X-10, Y-12, Mound, Fernald,
21 Paducah, Linde Ceramic, the Pinellas Plant and
22 Iowa Ordnance Plant at the very bottom. Of the
23 universe of site profiles, those are the site
24 profiles that have been or are under review.
25 Obviously what's left is the candidate

1 population for you to consider to select the
2 next five. And I think what David is telling
3 us in his gentlemanly but strong terms is that
4 we really need to leave this meeting giving
5 SC&A work to do under this task.

6 Any additional comments on one, or any
7 additional information the Board might like?
8 Mark.

9 **MR. GRIFFON:** I'm just curious -- maybe I
10 missed this -- how is this list formulated,
11 Lew? Is this...

12 **DR. WADE:** This is a list of all the site
13 profiles --

14 **MR. GRIFFON:** All the site --

15 **DR. WADE:** -- on the web site.

16 **MR. GRIFFON:** All the site profiles on the web
17 site, okay.

18 **DR. WADE:** Right, and then the ones I mentioned
19 to you are the ones that have already been or
20 are under review, so you could assume what's
21 left are candidates for you to -- to ask SC&A
22 to review on your behalf. And -- and --

23 **MR. GRIFFON:** I think at least one bit of
24 information that might be helpful, I think I
25 could pick off a couple of them, but it might

1 be useful to know which ones have qualified SEC
2 petitions --

3 **DR. WADE:** Okay.

4 **MR. GRIFFON:** -- how many of these sites that
5 are -- the site profiles that aren't reviewed
6 have qualified SEC petitions. That might be
7 good to know.

8 **DR. WADE:** That's something we will give you by
9 tomorrow.

10 **DR. ZIEMER:** Yeah.

11 **DR. WADE:** And I just want -- this -- purpose
12 of this is just to make sure the Board has what
13 it wants to do its deliberations. Anything
14 else? Anything, John, that you would like to
15 add?

16 **DR. MAURO:** Just to point out that we are -- we
17 have the capacity to handle the new work as
18 soon as it's authorized. We talked about that
19 a little it this morning, so whenever you're
20 ready to direct us to do some additional work
21 on this, we can begin immediately.

22 **DR. WADE:** Okay. So the task at hand is five
23 additional site profiles for the Board to
24 identify by the end of the meeting for SC&A to
25 work on.

1 **DR. ZIEMER:** Let me also if I -- would it be of
2 value to know -- these are the total cases that
3 have been submitted to NIOSH?

4 **DR. WADE:** Correct.

5 **DR. ZIEMER:** Would it be of -- would the Board
6 be interested in knowing how many of those
7 total cases have actually been already
8 processed as far as dose reconstruction?

9 **DR. WADE:** Okay, so --

10 **DR. ZIEMER:** Seems to me --

11 **DR. WADE:** -- cases done.

12 **DR. ZIEMER:** Cases -- dose reconstructions
13 completed.

14 **DR. WADE:** Okay. So we're going to add two
15 columns, qualified SEC petition and cases
16 completed.

17 **DR. ZIEMER:** Any other information? Mark.

18 **MR. GRIFFON:** I'm just thinking, can -- I think
19 this is a pretty easy request, a separate
20 listing maybe of the qualified SEC petition
21 sites. And the reason I -- I guess the reason
22 I'm asking that is I -- I note a few SECs that
23 are out there, qualified SEC petitions -- like
24 for Harshaw and Monsanto. I don't -- the site
25 profiles don't exist, but I think they might be

1 in the hopper. I think NIOSH may be working on
2 site profiles for those sites, so just a
3 listing maybe of the SEC -- qualified SEC
4 petitions.

5 **DR. WADE:** I do think Larry gave us that today,
6 but --

7 **MR. GRIFFON:** Oh, he did?

8 **DR. WADE:** I believe he did, if my memory
9 serves me --

10 **DR. ZIEMER:** I think Larry gave us that. What
11 about site profiles that are in process or
12 fairly advanced but not necessarily out yet, is
13 that a list that's readily available?

14 **DR. WADE:** Well, if it -- I will give you the
15 best that we can. So it's in-progress site
16 profiles and we'll repeat the list of qualified
17 SEC petitions.

18 **DR. ZIEMER:** Other information? Other
19 information? Wanda.

20 **MS. MUNN:** This existing list, though,
21 certainly appears to cover all the major sites,
22 which really would seem to me to be our primary
23 focus.

24 **DR. WADE:** Well, certainly -- again, given
25 David's instruction -- we want to make sure

1 that SC&A leaves with work and not waiting for
2 site profiles to be completed. But I could see
3 how that information would allow the Board to
4 consider possibly giving them three or four now
5 and holding while a couple of other things are
6 done. So I think it's valuable information.
7 If we can get it, we'll get it.

8 **DR. ZIEMER:** Okay. Thank you.

9 **DR. WADE:** Moving on to Task Order III, this is
10 the review of procedures. Now again, under
11 your tab in your book that says "procedures
12 review", you have John Mauro's work product
13 that looks at the procedures that have yet to
14 be reviewed, with a -- with a significant
15 addition of TIB-52. This is what you have to
16 consider instructing your contractor on the
17 next 30 procedures. Is there anything else you
18 would like to inform that discussion before you
19 have it in earnest on Wednesday or Thursday?

20 **DR. ZIEMER:** Well, are there other TIBs -- I'm
21 trying to recall on the web site 'cause I
22 checked it recently. Is there another TIB
23 that's -- that came out in July or August that
24 wasn't on the list? Anybody remember?

25 **MS. MUNN:** I thought that was 52.

1 **DR. WADE:** We'll double-check that. We'll have
2 somebody in the room at the time who knows
3 that.

4 Okay, then we have Task Order IV. Here we have
5 a little bit of leeway. We -- we have decided
6 that at our December meeting --

7 **DR. ZIEMER:** Not -- apparently not everybody
8 has that list of (unintelligible).

9 **DR. WADE:** It's under your -- in your workbook
10 under procedures review, way in the front.
11 That's where it used to be, anyway.

12 **MS. MUNN:** Yeah, that's where it is.

13 **DR. ZIEMER:** That's the original list. Yeah,
14 we got (unintelligible).

15 **DR. WADE:** We got things out of places just to
16 test -- we're constantly testing the
17 intelligence of the Board.

18 **MS. MUNN:** And I'm consistently flunking.

19 **DR. ZIEMER:** Okay, here we go.

20 **DR. WADE:** Task Order IV -- John's going to
21 tell us something important.

22 **DR. ZIEMER:** Okay, John.

23 **DR. MAURO:** I just have a -- an observation
24 that in going through the list of procedures
25 that were originally prepared on June 9th,

1 please keep in mind that many of these
2 procedures we have reviewed as part of the Y-12
3 activities --

4 **MS. MUNN:** Yeah.

5 **DR. MAURO:** -- as part of the current
6 activities related to Rocky Flats, so there's
7 another dimension to this.

8 **MS. MUNN:** Yes.

9 **DR. MAURO:** We certainly, if so requested, we
10 could prepare a report on the ones we've all
11 been very actively involved in reviewing as
12 part of the -- the issues that are before us
13 right now that -- that -- and so what I'm
14 getting at is that the -- those procedures that
15 we've already been very much engaged in, we --
16 if you're -- if you so require, we could very
17 readily and quickly prepare a report regarding
18 that procedure.

19 **DR. ZIEMER:** In fact that would be helpful to
20 have the list of which of these procedures in
21 essence have been reviewed anyway.

22 **DR. MAURO:** And I'll -- I will get together
23 with the rest of the SC&A team and we'll get
24 back to you about it.

25 **DR. WADE:** So you'll be aiming to have that to

1 us tomorrow or the next day, John?

2 **DR. MAURO:** That sounds perfectly doable.

3 **DR. WADE:** Good.

4 **DR. ZIEMER:** You're a good man.

5 **MS. MUNN:** Yeah.

6 **DR. WADE:** Task Order IV, this is where we have
7 some -- a little bit of breathing room. John
8 has told us that they are working at capacity
9 on Task IV. What he would like from us no
10 later than the December face-to-face meeting,
11 the definition of at least the subset seven,
12 the cadre seven of dose reconstructions to be
13 reviewed, so that's on our list.

14 And then Task Order V is the SEC task. That's
15 something that happens more in real time, and I
16 -- and I want you to know that there's capacity
17 in the contract. For example, now the Board's
18 going to be reviewing four SEC petitions at
19 this meeting. As an example, you'll have an
20 SEC petition that relates to Chapman Valve.
21 I'm sure there'll be discussion and debate.
22 The contractor stands ready to -- to address
23 issues as you assign them. I don't know if we
24 want to use that capacity without watching
25 these cases come to us so that there is

1 capacity to deal with the cases as necessary.
2 I think that's one where we really have to let
3 the world come to us. But I do think there are
4 things that will come to us at this meeting and
5 at subsequent meetings that we can assign to
6 the subcontractor.

7 **DR. MAURO:** I'd also like to point out that
8 under the current what I call the fiscal year
9 Task V, we have adequate budget and capacity
10 not only to receive additional direction from
11 the Board to do additional SEC work under the
12 existing -- last year's -- scope of work and
13 budget, now we also have the additional I
14 believe five or six. So what I'm getting at is
15 we have the resources to take on more than just
16 the new set that might emerge, but we also have
17 capacity to absorb some additional -- maybe
18 three, I think as many as three -- from the
19 existing budget 'cause we -- we're -- we're --
20 so we could prepa-- we're in a position to
21 accept more than just the fiscal year 2007.

22 **DR. ZIEMER:** Okay.

23 **DR. WADE:** So again, be ready to assign your
24 contractor work under Task V as it becomes
25 appropriate.

1 you've requested --

2 **DR. ZIEMER:** Right, right.

3 **DR. WADE:** -- and we'll leave meeting Dave --
4 David's challenge of tasking his contractor.

5 **DR. ZIEMER:** Okay, then we can move on to the
6 conflict of interest?

7 **SC&A CONFLICT OF INTEREST RESOLUTION PLAN**

8 **MR. STAUDT:** Yes, and I think -- yes, we can
9 move on pretty quickly. The final topic is
10 related to SC&A's conflict of interest, and
11 this was entitled the resolution plan.
12 Basically what happened, in -- July 24th I sent
13 an e-mail to the Board which basically said
14 that SC&A had established a conflict of
15 interest firewall, so I just want to quickly go
16 over that if you have any questions related to
17 it. But the background is that SC&A has a
18 conflict of interest plan that was approved by
19 you and is part of their contract. It
20 basically said they could perform free of any
21 conflict of interest and the plan itself
22 describes the methods employed by SC&A to
23 detect, avoid and mitigate any potential
24 conflict of interest.
25 One interesting note is under Section 3 of the

1 plan -- and I'm not going to read it to you,
2 but basically it -- it states where SC&A is not
3 allowed to bid on certain work, primarily
4 related to DOE and other work related to ORAU.
5 But the interesting thing of that paragraph is
6 it does not mention any work related to the
7 Department of Defense.

8 In late -- in late May I was contacted by Dr.
9 Wade that basically he had some concerns with
10 work that SC&A was performing, and I did send a
11 letter to SC&A that we -- we had some concerns
12 related to work under two subcontracts with the
13 Defense Threat Reduction Agency, and that had
14 to do with dose reconstruction for military
15 personnel at the Nevada Test Site and the
16 Pacific Proving Ground. As always, HHS is an -
17 - and part of that is obligated to protecting
18 integrity of this program, so in doing so I'm
19 guided by Federal Acquisition Regulations
20 Section 9.5, which addresses organizational and
21 consultant conflicts of interest.

22 Specifically, 9.504 requires that I, as the
23 contracting officer, exercise common sense,
24 good judgment and sound discretion on whether
25 significant potential conflict exists; and if

1 it does, the development of an appropriate --
2 rules of resolving it.

3 There was quite a bit of domino back and forth
4 between NIOSH and SC&A on this topic, and on
5 June 29th SC&A replied to -- to myself with
6 some mitigation strategies. And after careful
7 consideration, we chose the firewall strategy.
8 Basically that requires that SC&A provide non-
9 disclosure agreements for the work and computer
10 fire-- password protections, and I get to audit
11 the NIOSH DTRA invoices to find out who's
12 working on what. And I wanted to let the Board
13 know that this -- this -- this plan, this
14 strategy is not stagnant, the one that SC&A has
15 in place, depending on the work that they're
16 doing. And my main goal is to minimize any
17 perceived or real conflicts of interest.

18 So I just wanted to let you know that the
19 firewall strategy was approved and that SC&A
20 has been very quick with fully implementing the
21 strategy. And I'm really relying upon the
22 Board or anybody else in the general public
23 that -- on any feedback related to conflict of
24 interest so we can mitigate those.

25 And the other thing I just want to just hit is

1 that the firewall has a lot of benefits to the
2 Board. It allows you to still utilize SC&A to
3 the maximum that you can, and it ultimately
4 best serves the claimants and the taxpayers
5 themselves. So this is just to -- basically
6 segments -- or augments their original conflict
7 of interest plan. It did not cover DoD
8 activities. So if anybody had any questions on
9 -- on that.

10 **DR. ZIEMER:** David, do we need to formally
11 modify anything or ask them to modify their
12 plan in a formal way to take this into
13 consideration for the future?

14 **MR. STAUDT:** That certainly could be done. Or
15 we could, if you want to, incorporate and
16 reference the firewall plan that was accepted,
17 if you -- if you would like me to do it, I can
18 do it.

19 **DR. ZIEMER:** Okay.

20 **MR. STAUDT:** And then basically the only thing
21 it would require is I could simply modify the
22 base contract and that could be made a part of
23 it, if you like. And that way they're
24 contractually obligated to do that.

25 **DR. ZIEMER:** I'm not sure the best way to

1 proceed, but it seems to me that it would make
2 sense to somehow formalize in the conflict of
3 interest plan -- does that appear on the web
4 site now?

5 **MR. STAUDT:** Yes, it is. Their full plan is on
6 the web site.

7 **DR. ZIEMER:** So that it covers this aspect as
8 well -- in a general way. That is, DoD or
9 other agencies where a firewall is needed.

10 **MR. STAUDT:** And I'm -- in my capacity I'm
11 certainly interested -- and SC&A's a small
12 business -- to make sure that we're not doing
13 anything that would mitigate any opportunities
14 to -- to bid on work and do other -- you know,
15 to grow their business. But we have to protect
16 this program, too, so that's -- that's -- it's
17 that balance that we have to be careful --

18 **DR. ZIEMER:** Are we trying to keep them small?
19 Is that --
20 Okay, so any action required I guess at this
21 point?

22 **MR. STAUDT:** No, none is required. But I would
23 --

24 **DR. ZIEMER:** What can you do to -- to sort of
25 institutionalize this or make sure it's

1 covered?

2 **DR. WADE:** Yeah, I think -- given the fact that
3 the Board did an excellent job in the original
4 policy that still exists, I would like to see
5 the Board, either itself or instruct David to
6 bring back a draft of a modified policy that
7 would include the benefits of what we've
8 learned here. So I think it is important that
9 the SC&A policy be modified based upon this,
10 and the Board can either do it itself, it can
11 ask David and I to do it as a draft. We leave
12 that to you, but I think it would be good to
13 complete the record by doing that.

14 **DR. ZIEMER:** Yeah, I'd like to suggest, if
15 there's no objection from the Board, that we
16 ask David to take the lead in this. He knows
17 what kind of words are needed to put in -- is
18 there any objection --

19 **MS. MUNN:** No.

20 **DR. ZIEMER:** -- if we ask David to prepare for
21 us a document that we can adopt as an addendum
22 to the SC&A COI policy? Any objections?
23 Without objection, I will so instruct you and
24 appreciate at -- at your earliest convenience,
25 perhaps by the time of our next meeting.

1 **MR. STAUDT:** Certainly by then. Thank you.

2 **DR. ZIEMER:** And Lew will work with you then
3 making sure that --

4 **DR. WADE:** In spite of his young age, I work
5 for him so I'll do what he tells me to do.
6 I know there's also been some Board concerns
7 raised about the Board keeping up with this and
8 information, and is there anything the Board
9 would like to see -- David talked about
10 reviewing the materials he reviews. Is there
11 anything periodically the Board would like to
12 see on this or how would you like to handle --
13 us to handle the information that David reviews
14 in terms of -- he reviews the different billing
15 records for the two contracts and what would
16 you like -- what would you like --

17 **DR. ZIEMER:** Now we do -- I think all of us now
18 are getting the monthly progress reports which
19 include the costing and so on.

20 **DR. WADE:** But not on the DTRA contracts.

21 **MS. MUNN:** No.

22 **DR. ZIEMER:** Oh, on the DTRA, no --

23 **DR. WADE:** Well, if you wanted -- David's doing
24 a comparison.

25 **MR. STAUDT:** I can take care of it for the

1 Board. I'm not sure that, you know, you -- you
2 want to even get into that.

3 **DR. ZIEMER:** I'm not sure we want the DTRA
4 information. I don't.

5 **DR. WADE:** Okay, so we'll ask David to do that.

6 **MR. STAUDT:** I'd be happy to.

7 **MR. PRESLEY:** (Off microphone) (Unintelligible)
8 would be of -- I don't think it would
9 (unintelligible) benefit.

10 **DR. ZIEMER:** Thank you. Any questions or
11 comments for -- for David Staudt on this issue?

12 (No responses)

13 Thank you very much.

14 **MR. STAUDT:** Thank you all.

15 **DR. WADE:** Thank you, David.

16 **DR. ZIEMER:** Do you have anything before we
17 recess?

18 **MR. PRESLEY:** Let me ask David a question
19 (unintelligible) one time. Will our next
20 meeting in December be too late, or do you need
21 this done before then, Board action?

22 **DR. ZIEMER:** Well, it's already in effect.

23 **MR. STAUDT:** Yeah, it's in effect.

24 **DR. ZIEMER:** I was simply saying we want to --

25 **MR. PRESLEY:** Oh, okay.

1 **DR. ZIEMER:** It's in effect sort of on an ad
2 hoc basis.

3 **MR. PRESLEY:** Okay.

4 **DR. ZIEMER:** I think we want to formalize it so
5 it's on the web site and part of the policy.

6 **DR. WADE:** Well, maybe for our October call.
7 This is something we could easily do on a
8 conference call.

9 **DR. ZIEMER:** Thank you. We're going to have a
10 public comment session beginning at 5:00. We
11 have time for about a 15-minute break. We want
12 to start promptly at 5:00 o'clock, so please
13 keep note of the time and we'll see you back
14 here. If you are planning -- or wish to
15 comment and haven't already signed up, please
16 do so. Thank you very much.

17 (Whereupon, a recess was taken from 4:40 p.m.
18 to 5:00 p.m.)

19 **PUBLIC COMMENT**

20 **DR. ZIEMER:** We are ready to begin our public
21 comment session. I first would like to
22 determine whether or not Terrie Barrie is with
23 us by telephone. Terrie, are you on the phone?

24 **MS. BARRIE:** Yes, Dr. Ziemer, I am.

25 **DR. ZIEMER:** Thank you. And is Kay Barker

1 present on the phone?

2 **MS. BARKER:** Yes, Dr. Ziemer, I am.

3 **DR. ZIEMER:** Thank you very much. Both Terrie
4 Barrie and Kay Barker requested earlier in the
5 week to address the assembly by telephone.
6 They're representing the Rocky Flats site,
7 actually, and let's -- we're going to begin
8 with Terrie Barrie, if we can turn the volume
9 up on her phone. Terrie, if you would, please
10 proceed.

11 **MS. BARRIE:** Thank you, Dr. Ziemer, and good
12 evening -- and members of the Board. For the
13 record, my name is Terrie Barrie. I'm with the
14 Alliance of Nuclear Worker Advocacy Group. I
15 would like to thank you, Dr. Ziemer, Dr. Wade
16 and Mr. Elliott for arranging this call to
17 present my public comments tonight.
18 Dr. Ziemer, I'm still confused. I was so
19 excited when, at the last working group
20 meeting, Board member Mark Griffon and SC&A
21 team member Kathy DeMers stated they found
22 several entries in one log book confirming that
23 badges were destroyed because they received too
24 high a dose of radiation. Kathy also had
25 previously uncovered a memo which directed the

1 health physics personnel to enter a zero in the
2 dosimetry record if the film badge was
3 blackened. Perhaps I'm naive, but I thought
4 these findings were all (unintelligible) was to
5 prove the assertions in the petition that
6 records were destroyed and falsified. The
7 petition form itself certainly implies this
8 (unintelligible) proof of record manipulation
9 and destruction. Is NIOSH still certain they
10 can reconstruct dose when the data is suspect?
11 Doesn't this amount to guesswork?
12 At the April meeting in Denver three
13 explanations were given by NIOSH to explain
14 blackened badges. One reason was that they
15 were overexposed by light, another was that the
16 badges were contaminated with body oils, the
17 third reason was that they were exposed to too
18 much radiation. My logic dictates that if
19 NIOSH truly intends this program to be
20 claimant-friendly, they would use the
21 assumption that blackened badges were
22 overexposed due to radiation, and not to light
23 or body oils.
24 One issue bothered me during the last working
25 group meeting. There was a discussion on

1 whether the production stopped after the 1969
2 fire or if (unintelligible) makeshift facility
3 and that continued production during the
4 cleanup of Building 776 and 777. It was
5 decided that there was no makeshift facility.
6 I confirmed this with a former worker, as well
7 as the book "Making a Real Killing" -- great
8 book if you want to know the history of Rocky
9 Flats.

10 I am under the impression that because there
11 was no production after the fire, NIOSH assumes
12 this explains the zero readings. No
13 production, no exposure. Yet -- again
14 according to the book "Making a Real Killing" -
15 - AEC investigators estimated that less than
16 ten percent of the 7,641 pounds of plutonium in
17 Buildings 776 and 777 was damaged or burned to
18 oxides, and that 99 percent of the Pu had been
19 retrieved. That still leaves 76 pounds of
20 plutonium unaccounted for. Wouldn't it be
21 expected that the workers would have been
22 exposed to the radiation during the cleanup and
23 not just production? I personally question any
24 document that shows a zero or lower radiation
25 level for 1969.

1 (Unintelligible) at the last working group
2 meeting about how much time was being spent on
3 the Rocky Flats petition, and I agree. It must
4 be noted, though, that it took NIOSH four
5 months to qualify the petition and another ten
6 months to submit the evaluation report to the
7 Board after the qualification. They neglected
8 to do a full search of documents that could
9 substantiate the testimony of the workers
10 (unintelligible) this past summer, a full year
11 after they qualified the petition. Yet again
12 it must be noted that NIOSH had years to
13 provide the site profiles. One would have
14 hoped that a comprehensive and thorough search
15 of the Rocky Flats records would have been
16 their first priority before issuing any
17 technical documents.

18 As I said, I agree that a lot of time has been
19 expended on this petition. I do not agree with
20 Ms. Munn's opinion that NIOSH can reconstruct
21 dose with reasonable accuracy. We have
22 conflicts of interest with the site profile.
23 We have proof that badges were destroyed. We
24 have proof that the NDRP is inaccurate in at
25 least one instance. We have proof by the March

1 30th, 1978 AEC memo of 20 safety issues,
2 including -- and I quote -- unnecessary
3 radiation exposure to two operators, end quote.
4 Dr. Ziemer, I'm not a scientist, but I don't
5 see how dose can be reconstructed with any
6 sense of accuracy using flawed data. It
7 appears to me that it is more theoretical than
8 sound science. I know there's an interest by
9 some Board members to vote on this petition at
10 this meeting, but many issues are not resolved
11 and I feel they need to be before a full vote
12 is taken. Moreover, I strongly feel that any
13 vote on this petition should be made in Denver
14 so the Rocky Flats workers who are affected by
15 this decision can be present.
16 Lastly, I understand that Part E of the program
17 was discussed this afternoon. I'm sorry that I
18 could not listen in at that time, but I do
19 appreciate that this part was raised.
20 (Unintelligible) aware of many problems with
21 the implementation of Part E and they need to
22 be remedied. It is most definitely not
23 claimant friendly. I am happy that the Board
24 issued an invitation to DOL to provide further
25 explanations to the Board about this

1 implementation.

2 Again, I thank you for your time.

3 **DR. ZIEMER:** Thank you very much, Terrie. Hang
4 on, we'll make sure that Terrie can hear my
5 comments. Thank you, Terrie, and I do want to
6 alert you to the fact that we do have a session
7 tomorrow morning at 10:30 on the Rocky Flats
8 SEC. Hopefully you'll be able to join us by
9 phone at that time and -- and Mark and other
10 members of the working group will be reporting
11 on some of these issues tomorrow to the Board,
12 so --

13 **MS. BARRIE:** Yes, I do plan on -- on listening
14 in on that (unintelligible).

15 **DR. ZIEMER:** Very good. Thank you for your
16 comments.

17 We also then want to hear from Kay Barker, and
18 Kay is a claimant from Rocky Flats. Kay, if
19 you will proceed.

20 **MS. BARKER:** Thank you, Dr. Ziemer. Good
21 evening, Dr. Ziemer and members of the Board.
22 My name is Kay Barker, and I want to thank you
23 for allowing me to phone in my public comments
24 tonight on the Rocky Flats petition.

25 Transparency, I truly appreciate the Board's

1 insistence that this is being maintained. It
2 is because of this transparency that I was able
3 to locate some very disturbing facts related to
4 NIOSH and the petition. A great emphasis has
5 been placed by NIOSH on the neutron dose
6 reconstruction project as a reason that they
7 claim they can reconstruct dose.
8 You may remember that I pointed out that the
9 NDRP was flawed in my husband's claim. I
10 received a copy of the NDRP. I found that
11 Roger Falk was listed as the author. You all
12 know that there is a real problem with the
13 claimants about his participation in the site
14 profile and the petition review.
15 Getting back to the transparency, NIOSH has a
16 link on their web site for ORAU disclosure
17 statements. I decided to check out the other
18 five authors of the NDRP. Sure enough, two
19 other people -- Jack Aldrich and Nancy
20 Daugherty -- listed Rocky Flats as their
21 previous employer. I knew Nancy back in the
22 day, and ran into her at the April Board
23 meeting. Joe Aldrich states that he has a
24 conflict of interest with Rocky Flats. I would
25 like to point out to you, the Board, and for

1 the record that Nancy Daugherty did not state
2 that she has a conflict of interest with Rocky
3 Flats, yet she worked there as a health
4 physicist for 12 years.

5 I then decided to check out Karin Jessen,
6 author of the SEC evaluation report. Guess
7 what? She lists that she has a conflict with
8 Rocky Flats, too. The author of the document
9 that says NIOSH can reconstruct dose has a
10 conflict of interest with Rocky Flats?
11 Conflicts of interest abound in the Rocky Flats
12 petition, and nothing seems to be done about
13 it. It amazes me that these documents are
14 considered valid. If SC&A submitted documents
15 with similar conflicts, would they be accepted?
16 For some reason I think not.

17 Dr. Ziemer, I urge you and the other Board
18 members to seriously consider this problem
19 before deciding on the petition. I feel that
20 these conflicts alone cast doubt on NIOSH's
21 ability to reconstruct dose in a sound,
22 scientific manner that the claimants would
23 accept as reasonable. And I agree with Terrie
24 Barrie, the Rocky Flats claimants deserve the
25 Board -- excuse me, deserve the vote to be held

1 in Denver, Colorado.

2 Again, thank you for letting me make this call
3 possible.

4 **DR. ZIEMER:** Okay, thank you very much, Kay,
5 for those comments. Again, the working group
6 on Rocky Flats is here with us and have heard
7 your comments, and we will be discussing this
8 topic tomorrow. Again, I hope that you will be
9 able to participate by phone as well.

10 **MS. BARKER:** Yes, Dr. Ziemer, I plan on it.

11 **DR. ZIEMER:** Thank you very much. Now we will
12 proceed with comments from people who are here
13 present with us. I have six additional people,
14 so I'd simply request that you be cognizant
15 that others wish to speak and adjust your times
16 accordingly.

17 I'll begin with John Funk, who's listed as
18 representing the Atomic Veterans and Victims of
19 Nevada. And John, we're pleased to have you
20 here. I think, John, we've already received --
21 I think the Board members have already received
22 your comments by e-mail. We'd be pleased to
23 hear from you at this time.

24 **MR. FUNK:** Thank you. My name is John R. Funk.
25 I worked at Nevada Test Site and other

1 (unintelligible) locations off -- off and on
2 for over seven years, starting in 1978 and
3 ending in 1994. I've had four bouts of cancer,
4 of three are the 22 accepted types -- or one of
5 the 22 accepted types, and I am presently still
6 battling bone marrow cancer.

7 When Secretary Richardson and members of
8 Congress told my fellow Energy workers and me
9 how abused and harmed we had been, I admit that
10 I was a little surprised. But I believed the
11 promise of compensation to follow, and I filed
12 at claim. At this date I have not been
13 compensated, and neither has the vast majority
14 of the persons who have filed claims. Even
15 with my percentage of well over 50 percent,
16 NIOSH found a way to still deny me by using a
17 wrong formula of their IREP using 2,000 rems
18 instead of 10,000, as is the standard of their
19 own formula.

20 Either the government lied to us when they told
21 us how abused and harmed we had been, or the
22 government is lying to us now when they are
23 denying our claims. In any event, we have been
24 lied to and we're pretty angry. It seems we
25 are nothing but pawns of the politicians,

1 maneuvering for advantage and attention.
2 My purpose, however, is not to discuss the
3 personal situation of the hundreds of persons I
4 represent, but I provide you with some input on
5 (unintelligible) radiation compensation process
6 and the Technical Basis Document from Nevada
7 Test Site. I find this document to be highly
8 flawed, and I can't help but wonder if its
9 authors were ever on the site during the days
10 when nuclear weapons testing was being tested.
11 As far as the overall compensation program is
12 concerned, I think it's very unfair and flawed.
13 I would like to discuss the issue of fairness
14 and the issue of the 250-day requirement.
15 The first issue of fairness is quite simple.
16 Why was some sites grandfathered into
17 legislation without regard to scientific
18 evidence as to whether these sites were of
19 maximum exposure? Or to me is why was workers
20 on Amchitka Island written into the bill? We
21 know that there was only three tests on
22 Amchitka Island, none of which were above
23 ground, and there was no significant problem
24 with any of them. On the other hand, there
25 were nearly 1,000 tests in Nevada, about 100

1 above ground, and there was several problems
2 with many of the underground tests in Nevada.
3 The only logic that seemed to prevail, that one
4 of Alaska's senators was an appropriations
5 committee -- was on the appropriations
6 committee when this bill came through. Is it
7 fair to penalize the thousands of workers in
8 Nevada just because a Congressperson was not on
9 the right committee at the right time?

10 The other general issue is the one concerning
11 the 250-day requirement. I have asked
12 repeatedly for someone to explain the logic
13 behind this one. The only answer I get is it
14 came from Congress. Now I know that
15 Congresspersons make a lot of foolish mistakes,
16 but there's no reasons for such foolishness to
17 prevail. My personal opinion is that Congress
18 was misled into believing that a long period of
19 -- of chronic exposure was required for health
20 impairment, just as it is for silicosis. You
21 all know better than I that it can take less
22 than a microsecond for health impairment from
23 radiation to occur.

24 I have read some of the transcripts of past
25 meetings that scientists from NIOSH believe no

1 criticality or episodic exposures occurred at
2 Nevada Test Site. This is simply not true.
3 Attachment 4 and 5 to the SC&A NTS report
4 indicates clearly that doses were acute, if
5 they occurred at all. Most of the acute
6 exposures were associated with rapid re-entry
7 to retrieve data from above-ground, vertical
8 shaft or tunnel explosions. One particularly
9 bad example was the Yuba test on June the 5th,
10 1963. This was a small, 3.1 kiloton test.
11 Nevertheless, seven miners were exposed upon
12 re-entry and nine of them had doses to the
13 thyroid in excess of 30 rads. How could anyone
14 say that no criticality ever occurred at the
15 NTS? I remind you that the very purpose of
16 nuclear weapons is to achieve instantaneous
17 criticality.
18 There are also cases of so-called safety tests
19 to achieve unplanned criticality, as mentioned
20 in the NTS TBD, and we can safely assume that
21 many low-yield tests were failures that
22 resulted in partial criticality of unplanned
23 criticalities. As Mr. Brady indicated in SC&A
24 Attachment 5, the partial criticalities were
25 worse than the complete criticalities because

1 the complete criticalities generally had their
2 radioactivity in the molten rock.

3 Finally we combine the fairness -- issue of
4 fairness and the 250-day requirement for the
5 workers on Amchitka Island where there is no
6 250-day requirement in the legislation. Can
7 anyone explain why those -- this is fair to
8 Nevada workers?

9 I understand the Special Exposure Cohort has
10 been established for persons who worked 250
11 days at the NTS from January of '51 through
12 December of 1962. This is a great step forward
13 and I thank the members of the Board for their
14 support of this petition. However, the
15 inclusion of the 250-day requirement for
16 members of the SEC is still an unfair
17 condition, and I trust the Board will continue
18 to examine this issue.

19 In addition to this very important to remember
20 that hundreds of tests that occurred at the NTS
21 post-1962, and that many of these workers post-
22 1962 received episodic exposures as well. And
23 I've already mentioned the miners who inhaled
24 (unintelligible) in 1963 which resulted in
25 thyroid doses in excess of 30 rads. It did not

1 take 250 days for this to occur. The exposure
2 occurred in one day.

3 There is two erroneous opinions that seem to
4 exist concerning the NTS in the post-1962 era.
5 One is that tunneling is similar to other mines
6 and hazards can be compared to other mines.
7 This is far from the truth.

8 Another erroneous assumption is that job
9 classification for some -- or time cards can be
10 taken as descriptions to represent the workers
11 at risk. It is important to remember that the
12 primary purpose of the Test Site in later years
13 was to serve as a underground laboratory for
14 the testing of nuclear weapons, which is like
15 shaking hands with the Devil underground.

16 Explosion at the NTS was sufficient to destroy
17 every major city in the U.S., yet we are -- yet
18 we rapidly re-entered the tunnels, drilled into
19 the cavities resulting (unintelligible)
20 explosions of vertical shafts. The tunnels
21 were instrumental -- were instrumented with
22 extremely sophisticated measurement systems to
23 monitor the performance and effects of these
24 tremendous explosions, and especially during
25 the early days. It was necessary to re-enter

1 the sometimes highly contaminated area in order
2 to retrieve instruments and detectors.

3 The construction of a tunnel laboratory and the
4 building of the physical facilities to supply -
5 - supply sophisticated electrical wiring, the
6 insulation of complex closures and sealed
7 devices involved many crafts that far transcend
8 miners alone.

9 Job classifications are not well-identified in
10 the NTS TB-- TS-- TBD. There are some peculiar
11 statements made on page 17, NTS TBD document on
12 internal dose. These give a very limited list
13 of job classifications for persons that might
14 have been exposed to tritium, and further the
15 only persons with Q level clearance could have
16 been exposed to tritium.

17 As a carpenter/welder, neither job
18 classification is mentioned on page 17, I was
19 in the tunnels on many occasions before I had a
20 Q clearance. And after I received my Q
21 clearance I personally escorted many persons
22 with red badges, non-Q, to work in the tunnels,
23 right up to the day that we left and locked the
24 door. Many of us carpenters who also welded
25 were cutters and built many structures out of

1 wood, steel and concrete within the tunnel
2 complexes. There were also many electricians
3 and other job classifications not mentioned
4 involved in bringing power to the sophisticated
5 wiring equipment. In addition there were about
6 nine other crafts also involved in underground
7 laboratory work. In fact, the miners were less
8 than eight percent of the -- of the workforce,
9 yet there's -- they -- seems to be on the -- on
10 the site profile they seem to be the only ones
11 working. I guess the rest of us just were
12 hiding out there somewhere in the bush.
13 Also time cards are not a reliable indica-- of
14 where a person might have been working. Time
15 cards indicate only where the source of the
16 money used to pay the salaries. There were
17 many reasons for a person to work in one
18 location but to be paid from another location.
19 For example, people waiting for security
20 clearances for Area 51 were often -- would work
21 in Area 3 and Area 2 for a couple of months
22 till their clearances to go in 51 would come
23 through. I know that place is not supposed to
24 exist, but it does, but that -- there was other
25 cases where that -- my tunnels would go broke

1 and sometimes they'd send -- my primary area
2 was Area 3. I was sent up to Area 12 on loan
3 many times and my pay came from Area 3, but I
4 was up in 12.

5 Every person has a security badge
6 (unintelligible) that he wore. Even a Q-
7 cleared person may not have been allowed inside
8 a tunnel unless they had a need to know. The
9 badges also had a clear marking of what areas a
10 person was permitted to enter. In terms of
11 identifying persons at risk, there'd be many
12 reasons to look at the records concerning
13 allowable entry into different areas. It
14 should be kept in mind, however, that a person
15 could roam all over the Test Site, and only a
16 few secured areas required a badge check. Many
17 a so-called rad safety areas were only marked
18 with a tape or a one-wire fence.

19 Employees' evaluation cards, a type of very
20 informative record that should be available is
21 the employee's evaluation card. These periodic
22 evaluations not only told how well it -- we
23 performed our assignments, but they also
24 indicated the nature of our assigned task. A
25 large fraction of workers were non-productive,

1 in the sense they never left Mercury for the
2 forward areas. Rather they offered life
3 support activities, and many of these persons
4 were Q-cleared. I mean a dishwasher at Mercury
5 might have been Q-cleared, so you can't go by
6 the badge. Whether they had permission to
7 enter the forward areas beyond Gate 200 should
8 be in their security records.

9 I know this is not the Board's subject, but I'm
10 going to bring it up anyway because it's part
11 of it, chemicals. I also want to remind the
12 members of the Advisory Board that a large
13 number of chemicals were used at NTS.

14 Beryllium was used in many applications.

15 Mercuric chloride was used at -- to treat wood.

16 Beryllium oxide, mercuric chloride were
17 contained in fluorescent light tubes which were

18 broken by the thousands. Acetone was used for
19 cleaning as well as stabilizer in -- in

20 acetylene fuel. Lithium was used for special
21 purposes in the tunnel, and we were exposed to

22 diesel exhaust, which did not pass through

23 catalytic converters. Silica was also present

24 in the tunnel. Perhaps the worst thing of all

25 was the uncontrolled diesel exhaust, which I

1 understand contained benzene.

2 One challenge I would leave the members of this
3 Board, explain to us -- I hope I pronounce this
4 right -- the synergetic effect of these
5 chemicals and radiation together.

6 Thank you for the opportunity to address you.
7 I hope that you can influence the Congress to
8 all -- this legislation to make it fair to all
9 workers. The present favored treatment of
10 workers on Amchitka makes no sense, and neither
11 does the 250-day rule for NTS radiation
12 workers. NTS TBD in my opinion contains some
13 serious flaws. The idea a job classification
14 alone can identify someone at risk is not true,
15 and neither is the idea only Q-cleared persons
16 could have been in the tunnels and exposed to
17 tritium. I have made suggestions on how other
18 records could be used to determine persons at
19 risk, and I hope you will consider that and the
20 systegenic (sic) effects of exposure to both
21 radiation and chemicals. Thank you very much.

22 **DR. ZIEMER:** Thank you. Thank you very much,
23 John, for those pointed comments.

24 Next we'll hear from Patty Cook -- Patty.

25 **MS. COOK:** Good evening, Dr. Ziemer and members

1 of the Board. My name is Patricia Cook and I
2 am claimant 1,359 on behalf of my mother, Irene
3 Cerboskas Halperson*, who passed away of
4 multiple myeloma in June, 1997. She worked at
5 the Test Site Nuclear Rocket Development
6 Station at Jackass Flats from August 1963
7 through December 1970. In fact, her last day
8 was the day being buried* ended.
9 She worked for the Pan American World Airways.
10 Her office was housed in trailers next to the
11 E-med and R-med buildings. She returned to
12 work at the Nevada Test Site from 1980 to 1986
13 working for Atlas Wire Line.
14 My statement will relay my experience with
15 NIOSH and the Department of Labor in regards to
16 the Act. My claim was denied after five long,
17 tedious years. My disagreements with the way
18 dose reconstruction was administered fell upon
19 deaf ears and total disregard.
20 The final adjudication (sic) board granted me an
21 oral hearing in January of this year. I was
22 accompanied by a local newspaper, that was not
23 allowed into the proceedings. Why? I thought
24 there was freedom of the press. Explain to me
25 where the government disallows the media to

1 participate. What did the Department of Labor
2 have to hide? Maybe that the dose
3 reconstruction was not a good example of
4 scientific findings and based on minimal
5 information.

6 (NOTE: Another conversation was present during
7 this speaker's comments. Every effort was made
8 to isolate the speaker from that secondary
9 conversation.)

10 Explain to me why I had to take an oath at the
11 hearing, and DOL did not. The burden of proof
12 is my obligation. I proved that my mother had
13 multiple myeloma, but how can I prove radiation
14 and chemical exposure when there are no
15 accurate records to help me? Pan American is
16 no longer in business. I cannot get records
17 from them. Plus the Nevada Test Site had
18 multiple prime contractors during these years
19 that my mom was there -- McGee, Wico, Benbecto*
20 -- every time they changed prime contractors,
21 records got lost, misplaced, buried in a
22 landfill, falsified by DOE's own
23 acknowledgement.

24 There was no industrial hygiene prior to 1971,
25 by Bechtel's own acknowledgement. Not only was

1 there radiation exposure, but there was
2 chemical dose -- I'm sorry. Not only do we
3 need radiation exposure, but we need chemical
4 dose reconstruction also. Both cause cancer.
5 I presented a copy of the discrepancies that
6 Sanford and Cohen (sic) found in the site
7 profile.

8 **UNIDENTIFIED:** Can you please stop talking on
9 the phone so we can hear (unintelligible) --

10 **MS. COOK:** The bottom line is that the site
11 profile is inadequate, and there's insufficient
12 and incomplete data to do my mother's dose
13 reconstruction. At best they did dose
14 reconstruction that was calculated at a sample
15 size of 2,000 instead of 10,000.

16 Sadly, I'm not even sure what it means. The
17 technical jargon and signs and symbols that are
18 in the reports are not user friendly. They're
19 designed to leave you dazed and confused after
20 trying to read through them.

21 I told Curtis Johnson, the hearing
22 representative, that I have given all that I
23 have. And the final letter I received stated
24 that because they had not received any more
25 information from me in 30 days that my claim

1 was denied.

2 I also noted in the hearing all the mistakes
3 that had been made by NIOSH, and he apologized
4 profusely. But nonetheless, the first dose
5 reconstruction was done on a secondary cancer.
6 I had to call them on it. Then it had to be
7 redone and I had to have another phone
8 interview because there were no notes taken on
9 my original phone interview. That was four
10 years prior to that. I was told that this
11 person that did the original phone interview
12 had been fired for sloppy work. And needless
13 to say, finding out four years later, I was
14 very, very unhappy.

15 I don't have confidence in the system. The
16 oral hearing was a total waste of time, energy,
17 my taxes and your taxes.

18 I have a signed receipt from DOL requesting
19 they keep my file open dated July 7th. It has
20 not been acknowledged as yet.

21 The only legitimate records of exposure that I
22 have is the material my mother saved and the
23 stories that she told. During the large tests
24 she said she would -- they would ship them off
25 to Mercury for an hour, then bring them back to

1 Jackass Flats. She told me that while they
2 were walking on the rocks in their shoes, the
3 cleanup crews were out there in HazMat suits.
4 The fact that they were testing nuclear
5 reactors meant that there were accidents, also.
6 And the reactors would blow up and sometimes
7 come apart, exposing them to toxic substances
8 and radiation.
9 Projects NERVA, Rover, Thebes* and the
10 extremely dirty Pluto were a common part of my
11 vocabulary.
12 This is proof. There's Jackass Flats. Proof
13 is, my mom was there 'cause I've got pictures
14 of nuclear reactors -- hot nuclear reactors.
15 This poor guy, he's smiling.
16 And finally, it touches my heart because this
17 is a Rover reunion where the last people from
18 her division had a little reunion party to
19 celebrate Rover. Little did she know at the
20 time what was going to happen.
21 All this being said to the Board, I thank you
22 and I hope that you will consider my claim in
23 the future for special co-- Special Exposure
24 Cohort. I also request the Board give Special
25 -- SEC to Areas 25, 27, E-med, R-med and NRDS.

1 Thank you.

2 **DR. ZIEMER:** Thank you very much, Patricia.
3 It's often very difficult to even share those
4 experiences.

5 Dorothy Clayton, is Dorothy here? Dorothy.

6 **MS. CLAYTON:** (Off microphone) (Unintelligible)

7 **DR. ZIEMER:** Sure.

8 **MS. CLAYTON:** (Off microphone) (Unintelligible)
9 I'd like -- (on microphone) I have some records
10 to share with you. My husband worked at the
11 Nevada Test Site for 29 and a half years, and I
12 was able to get 1,370 pages of declassified
13 records from the DOE, but I just chose about
14 five years that I'd like to share with you of -
15 - of the records that -- that I have gotten
16 from him -- for him.

17 I'll start with 1959 when the radiation
18 exposure at the Test Site at that time was
19 three rems per quarter and five rems per year.
20 His radiation exposure history from the DOE
21 shows that he got 12,130 millirems. That
22 includes 10,100 in tritium. Also there's a --
23 there's a memo from -- it's for -- to the
24 Nevada Operations Department, Division of the
25 Atomic Energy Commission, asking that his

1 radiation exposure be raised to 12,000
2 millirems a year. This memo is dated September
3 the 4th. He was already up to 8.3 at that
4 point, so he was well over the 5 -- 5,000
5 millirems at that -- at that time.
6 Then in October, October the 1st, his radiation
7 exposure was 11.9. The radiation chief wrote a
8 memo that said (reading) It would be my
9 recommendation that Mr. Clayton be transferred
10 from his present work assignment to an area
11 where his exposure possibilities would be
12 removed entirely.
13 That didn't happen. There are urine samples
14 done, nasal swabs done from October the 19th,
15 1959 all the way through December of 1959. On
16 the -- the year-end report it shows the
17 radiation dosage that he received up through
18 September. October, November and December are
19 blank. They did not record any radiation at
20 all that he had gotten because he was -- he was
21 already over the 12,000 that they had given him
22 -- had raised it to. That was 1959.
23 1961, this is -- there was a teletype from
24 Reynolds Electric to James B. (unintelligible)
25 of the U.S. AEC. This is dated November the

1 28th, 1961 asking to raise my husband's
2 radiation limit again to the 12,000 millirems
3 per year. It says (reading) We urgently
4 request that approximately 30 key personnel now
5 working in B tunnel, all of whom have exceeded
6 or are about to exceed three R for the quarter,
7 be allowed to continue working in B tunnel.
8 And this is considered necessary if we are to
9 meet the test schedules, and it's highly
10 desirable from an economic standpoint.
11 They didn't want to bring in new hires and
12 train them to do the job. They'd rather these
13 men be over-exposed to radiation. That was in
14 1961.
15 In 1962 -- I have copies of his film badge
16 cards, the original film badge cards. It shows
17 -- on the radiation exposure history it shows
18 that he had gotten 1,955 millirems for that
19 year. However, on this film badge card right
20 here, which is date-stamped November the 29th,
21 1962, his radiation exposure was 3,113 -- a
22 discrepancy there. There's log book entries.
23 They blacked out some of the names to prove--
24 you know, to prevent other people's names from
25 showing, but they made a notation of one of the

1 men having radiated hair, radiation in his
2 hair. They also made a note in this log book
3 regarding the lost film badges, that the men
4 were requested -- if they had an abundance of
5 radiation -- to lose their badges. Here -- it
6 said there was a call from the lab and said we
7 should get some lost film badge cards to
8 provide for the men who were asked to lose
9 their badges and replace them. There's another
10 note in another log book that said the call --
11 they had received a call for information on one
12 of the men who had lost his film badge.
13 About eight months before my husband passed
14 away he dictated a ten-page work history to me,
15 and this was in 19-- this was October the 26th,
16 1998. He passed away in 1999, June the 5th.
17 He had been working on the mesa above the
18 tunnels, and when the rad safe monitor came to
19 -- back to him, he made a report to the net
20 control, and as soon as the monitor told the
21 people at the net control how much radiation my
22 husband had at that time and how high the
23 radiation was at that level, they told him to
24 get him off of the mesa, then, and the rad safe
25 supervisor recommended that my husband lose his

1 film badge, which he did, because at that time
2 my husband -- his words, the miners were in
3 fear of losing their jobs if they got too much
4 radiation.

5 They weren't aware of the consequences of over-
6 abundance of radiation. They knew it was bad -
7 - the workers did, I'm sure -- but they didn't
8 know the consequences of -- of losing a badge
9 and not being able to count that radiation.

10 Then in 19-- in 1963 the radiation exposure
11 history shows 240 millirems of radiation.

12 However, a film -- copy of a film badge card
13 that I have dated 8/29/63 shows that he had
14 4,611 millirems for the year.

15 In 1964 the radiation exposure history shows
16 zero. That was a year that -- where they had
17 an abundance of heavy-duty tests. The -- one
18 of his film badge cards which is date-stamped
19 May the 2nd, 1964 shows 5,675 millirems.

20 The last one I have to show you is 1965. The
21 radiation exposure history shows 265 millirems.

22 However, his film badge card shows 6,486
23 millirems. And it's their -- it's a copy of
24 the actual film badge cards.

25 So I don't see how an accurate dose

1 reconstruction can happen when they were doing
2 things like this. I don't see how a radiation
3 exposure history can be determined when they
4 have records like this, the film badge cards,
5 to go by.

6 I've already been paid for my claim, but
7 there's many people who haven't. And -- and if
8 they're going by the records provided by the
9 DOE, they're incorrect -- very, very, very
10 inaccurate.

11 And I just -- there's only one more thing to
12 share. They're asking the widows -- this is
13 the letter from the Department of Labor. The
14 very last paragraph says (reading) Remember, as
15 the claimant it is ultimately your
16 responsibility to submit the necessary
17 information to substantiate your claims.

18 How unfair can that be? That was a secured
19 area, and there is no way the widows would know
20 what their husbands were working in. We were
21 told -- I worked out there for several years.
22 We were told even if we saw anything in the
23 newspaper, we could not talk about it. We
24 couldn't, it -- so how in the world can these
25 widows substantiate any kind of a claim? So

1 hopefully we'll get a good dose reconstruction
2 program going.

3 **DR. ZIEMER:** Thank you for your comments. I'd
4 like to insert at this point that NIOSH
5 certainly doesn't operate in the spirit of that
6 last paragraph. They don't rely on the widows
7 to provide the information on dose
8 reconstruction. I think the -- the claimants
9 do have to provide something on medical, but
10 that's not a NIOSH statement, I assume. I'll
11 ask Larry Elliott, I don't believe that's a
12 NIOSH statement.

13 **MR. ELLIOTT:** She was reading from a Department
14 of Labor letter.

15 **DR. ZIEMER:** Right. And I might add also --
16 and you know, the Board doesn't deal with the
17 individual cases, but in cases where there are
18 these kind of discrepancies, NIOSH always goes
19 in favor of the higher number, so you get the
20 benefit of the doubt on those -- those kinds of
21 claims if there's -- I believe that would be
22 correct.

23 I understand your claim has already been
24 processed. I assume that the dose
25 reconstructors had access to the information

1 that you shared with the Board, so -- I don't
2 know if you want to comment or -- Larry, but --
3 **MR. ELLIOTT:** Yeah, I don't -- I don't think we
4 had all of this information, which I find very
5 interesting. I'm going to see Ms. Clayton
6 afterward and see if we can talk with Mark
7 Rolfes, who's helping Bob Presley out on the
8 working group for this site. But this -- this
9 kind of information stimulates my interest
10 considerably.

11 **DR. ZIEMER:** Thank you very much. Next we'll
12 hear from Dr. Jacob Paz, is Dr. Paz -- welcome.
13 (NOTE: The position between the following
14 speaker and the microphone created a
15 reverberation so extreme it rendered words
16 completely unintelligible. This transcription
17 was developed using a microphone positioned a
18 distance away from the speaker and represents
19 the best efforts of the reporter, but some
20 words remained unclear.)

21 **DR. PAZ:** Certainly. Good evening. My name is
22 Dr. Jacob Paz. I have a Ph.D. in Environmental
23 Health Science from Polytechnic University, New
24 York. I worked at the Nevada Test Site from
25 1989 to 1991 as an industrial hygienist. I

1 also with Senator Reid on NTS employee exposure
2 issues. In my professional opinion, NTS who
3 work 250 days between the years of 1961 and
4 1962 should be compensated and why due to
5 recent advances in science. Number one, low
6 level radiation and rec-- and radiation
7 bystander effect. Recently the National
8 Academy of Science completed a comprehensive
9 evaluation of the literature relevant to the --
10 to the risk of radiation exposure, the
11 committee concluding that since that radiation
12 can cause other health cancer effects such as
13 heart disease, strokes and further study is
14 needed to predict the dose results in the known
15 cancer health effect. The committee noted that
16 it is -- that it is possible that children born
17 of parents that have been exposed to radiation
18 could be affected by those exposure. The
19 committee concluded that the risks of low level
20 radiation are equal but greater than previously
21 thought. The bystander effect and the newly
22 recognized method by which radiation produces
23 changes in cell that were not directly hit but
24 are in the vicinity of those that are change --
25 that were -- the changes include but not

1 limited to increases level of -- of repair
2 proteins, increase -- increase apoptosis and
3 increase damage. Some of these changes appear
4 to constitute damage to the cell, while other
5 probably reduce the damage or cause damage to
6 the cell to disappear so that they do not -- so
7 -- I'm sorry -- so that they cannot grow or
8 become cancer. Genomic instability can occur
9 in cell which survive exposure to low level
10 radiation. According to the report, might
11 contribute significantly to the radiation
12 cancer risk.

13 Next, effect of this newly discovery had been
14 reported in pages 553 to 571.

15 Finally, NIOSH dose reconstruction project
16 should also take into consideration the
17 following: the effect of mixed radiation
18 exposure, for example, alpha, beta and gamma,
19 and the possible synergistic interaction
20 exposure mode to low and high LET particles.

21 Number two, sorption of radon by silica and
22 cancer. Recently there has been growing
23 concern of sorption of radon by silica and the
24 potential increasing -- increasing lung cancer.
25 In 1997 IARC changed the classification of

1 silica dust from 2A to 1. Two, Goldsmith, 1997
2 3 stated meaning the silica is a human
3 carcinogens just like radon. All uranium miner
4 are exposed to silica and, and he furthermore
5 stated none of the epidemiologic studies that
6 I'm aware of have data on silica dust. That
7 mean that the EPA radon extrapolation should --
8 could be a flaw, resulting three possibility
9 scenarios. One, silica may interact to
10 increase cancer potency slope; two, silica and
11 radon may not affect each other and the
12 (unintelligible) slope; three, silica and radon
13 may have an antagonistic effect.

14 The EPA extrapolation for public health, the
15 radon/silica question must be addressed. In my
16 opinion, the EPA claim that indoor radon is
17 second leading cause of lung cancer after
18 smoking remains only a claim, and should be
19 examined critically. Lung cancer probably
20 caused by combined action of radon and its
21 offspring and silica dust. Exposure to zeolite
22 fiber and eronite and mordenite and known to be
23 a potent carcinogen and must be addressed and
24 I'd like to address it. It was found in some
25 vein in the Nevada Test Site. I test three

1 sample were negative.

2 These report are extremely important since
3 miner at the Nevada Test Site have been exposed
4 to silica dust and radon and subsequent -- and
5 subsequently could cause a synergistic
6 interactions and the develop of elevated lung
7 cancer. This need to be further investigated.
8 Second -- second -- secondary, there is a
9 possibility exposure of NTS worker to silica
10 dust to radiation in both during tunnels
11 operation and ground -- and -- and ground to
12 nuclear detonation devices and the possible
13 increase in cancer rate. I have conduct and if
14 the committee want a very extensive physical
15 and chemical testing for about a year and a
16 half on silica and chemical agent and --
17 they're available.

18 I'd just like to make also notes which is not,
19 but it might also be very important is the
20 direction between chemical and radiation and
21 the report by Preston in 2003 and 2005 which is
22 really stated that potential of interaction and
23 -- and making recommendation of -- for
24 additional research. Thank you very much.

25 **DR. ZIEMER:** Thank you, Dr. Paz. Also could I

1 ask you to clarify, the National Academy of --
2 the report to which you refer, is that --

3 **DR. PAZ:** BEIR VII.

4 **DR. ZIEMER:** -- BEIR VII report. Okay, I -- I
5 just wanted to note that --

6 **DR. PAZ:** Yes.

7 **DR. ZIEMER:** -- the risk values from BEIR VII
8 are essentially the risk values that are used
9 by NIOSH --

10 **DR. PAZ:** Yes.

11 **DR. ZIEMER:** -- in dose reconstruction.

12 **DR. PAZ:** Okay, I'm just making my -- that's my
13 remark.

14 **DR. ZIEMER:** I just wanted to make sure --
15 yeah. Thank you.

16 Next we'll hear from Knut Ringen -- Knut.

17 **MR. RINGEN:** Thank you very much for
18 entertaining me again and -- first of all, I
19 appreciate that you've finally gotten me some
20 numbers on construction workers, and I
21 apologize to you that you've been on the end of
22 my belligerent statements in that regard, but
23 of course that's why you get paid the big
24 bucks.

25 **DR. ZIEMER:** Yeah, right.

1 **MR. RINGEN:** I want to make sure that Larry's
2 comment earlier today did not -- was not
3 interpreted to mean that CPWR in any way had
4 any involvement in the drafting of OTIB-0052.
5 We did not -- we did work with NIOSH leading up
6 to it in various ways, but we had no knowledge
7 of the content of that document till we
8 received it two weeks ago, and we then put
9 together a committee of internal and external
10 scientific advisors to help us review it. And
11 that group came up with a number of questions
12 about it that we discussed with Larry in a
13 conference call on Monday and that we sent him
14 a subsequent five-page letter outlining the
15 concerns that we think need to be addressed.
16 These concerns include the underlying
17 assumptions -- Jim Melius referred to one of
18 them, we have identified five others that are
19 very significant; the strengths and weaknesses
20 of the datasets that are included, because all
21 of them have significant problems in terms of
22 both their coverage and in terms of their
23 completeness, and they're all unaudited and
24 they consist of simply annualized data for
25 workers; the external validity of the findings

1 from the sets -- datasets that are available
2 and to the extent to which you can extrapolate
3 from that to other sites; the conclusions and
4 guidance provided to dose reconstructors as a
5 result of that analysis; and finally we wonder
6 how do dose reconstructors decide when to use
7 one of these OTIBs and not another, and what's
8 the relationship between them because you get -
9 - beginning to get quite a few of them.

10 It was unfortunate in the presentation that the
11 focus was so much on that one composite dataset
12 because that's not really meaningful in the
13 end. If you look at the underlying datasets
14 individually, there's huge variation between
15 them so that some may have a dose for
16 construction workers that's lower than for
17 other workers, while others have cons-- have
18 values that are significantly higher. And I
19 don't want the discussion of the document to be
20 held up on the basis of what was in that one
21 slide that you had available to you.

22 We appreciate your decision to have a working
23 group review this document, and we offer to
24 participate in the working group as you see
25 appropriate. Within this letter that we have

1 sent to Larry Elliott I think there's a fairly
2 extensive agenda that ought to form a good
3 basis for the deliberations of the committee,
4 and I think we can provide the committee with
5 expertise in terms of the construction --
6 industrial hygiene expertise that you need to
7 review it properly. So thank you. And thank
8 you for your service.

9 **DR. ZIEMER:** Yeah. Knut, as a starting point,
10 could you provide us with the list of five or
11 whatever it is issues that were of concern to
12 your group? You don't have to do it right now,
13 but I --

14 **MR. ELLIOTT:** We'll get you a copy of it.

15 **MR. RINGEN:** (Off microphone) Larry's
16 (unintelligible).

17 **DR. ZIEMER:** Oh, Larry can get us a copy and --
18 we'll just make it available to the Board.

19 **MR. RINGEN:** Absolutely.

20 **DR. ZIEMER:** Obviously we've had some
21 discussions today, even some members sort of
22 off-line as we are looking at the document.
23 And like any other of the TIBs, it's a -- it's
24 a living document and we'll have opportunities
25 -- I think NIOSH will welcome input from --

1 from all of us to -- if we can refine it and
2 improve it in any way, so --

3 **MR. RINGEN:** We appreciate how difficult it is
4 for NIOSH to try to do what it's trying to do
5 with this, but there's still lots of work that
6 needs to be done on it.

7 **DR. ZIEMER:** I see Brian -- I didn't know Brian
8 Dodd was with us. Brian Dodd is the President
9 of the Health Physics Society. Brian, welcome.

10 **MR. DODD:** Thank you. Good evening. My name
11 is Brian Dodd. I'm President of the Health
12 Physics Society and a Las Vegas resident for
13 three years now. I'd like to thank NIOSH and
14 the Advisory Board for -- on Radiation and
15 Worker Health for the opportunity to make some
16 comments in this public meeting and for holding
17 the meeting, and I'd like to make some comments
18 on behalf of the Health Physics Society.
19 For those not familiar with the Health Physics
20 Society, it's an independent scientific
21 organization whose members are professionals in
22 the field of radiation safety. The Society's
23 mission is excellence in the science and
24 practice of radiation safety. HPS activities
25 include encouraging research in radiation

1 science, developing standards and disseminating
2 radiation safety information.

3 By way of background on my comments today, I'd
4 like to quickly review the Society's position
5 statement on the subject entitled "Compensation
6 for Diseases that Might be Caused by Radiation
7 Must Consider the Dose." This is available on
8 the Society's web site of hps.org in the
9 documents section. This statement was first
10 adopted in March of 2000 and states that the
11 HPS believes that a person's radiation dose
12 must be considered in determining whether to
13 provide compensation for disease that could
14 have been caused by radiation. It also states
15 that there should be no compensation for
16 persons whose lifetime doses are less than
17 approximately .1 sieverts, ten rem, 10,000
18 millirem.

19 The Health Physics Society strongly supports
20 compensation for workers who are likely to have
21 been harmed by occupational radiation exposure
22 -- strongly supports. Our knowledge about the
23 potential health effects of ionizing radiation
24 is extensive. It's known that radiation cannot
25 cause all types of diseases. It's also known

1 that for those diseases observed to be caused
2 by radiation, the likelihood that radiation
3 will cause a disease increases as the dose
4 increases. In other words, any particular
5 disease's likelihood of having been caused by
6 radiation is dependent on the dose to the
7 individual. This relationship of increasing
8 likelihood of disease with increasing dose has
9 only been observed for doses greater than
10 approximately .1 sieverts, the ten rem.
11 The likelihood of radiation-induced disease
12 below this level, if it exists at all, is so
13 small that it's not measurable. It is not a
14 matter of scientific fact, and it can only be
15 established utilizing hypothetical mathematical
16 dose response models.
17 Presumption of causation has no scientific or
18 medical basis without consideration of dose.
19 That is, the simple fact that some radiation
20 exposure occurred is not a measure of hazard.
21 The amounts of exposure -- i.e., the dose -- is
22 the only measure of the hazard, and the only
23 measure of the likelihood of the disease or
24 injury has been caused by the radiation.
25 It's with this background that the HPS is

1 concerned with the pressure on the Board to
2 make every facility and cohort a Special
3 Exposure Cohort. The Society is concerned
4 because of the presumption that a cancer in a
5 member of a designated SEC is caused by
6 radiation and is paid compensation without
7 regard for the dose. The HPS would urge the
8 Board to resist the pressure and to use dose
9 reconstruction as the basis for compensation,
10 except in very extraordinary situations where
11 even broad ceilings on an individual's dose
12 cannot be estimated.

13 It is feared that there may be a tendency for
14 the Board to take the easy path and perhaps
15 save the money of a dose reconstruction by
16 generously granting SEC status. However, the
17 causation of a cancer by radiation is a
18 question of science, and the science should be
19 followed whenever possible. Abandoning science
20 in a scientific issue can set a precedent that
21 could result in a misappropriation of public
22 money and could reinforce a common fear that
23 any level of radiation will cause a cancer,
24 thereby influencing society to abandon the
25 beneficial uses of radiation technology.

1 I want to reiterate the statement right in the
2 beginning, that the Health Physics Society's
3 fundamental position is that it strongly
4 supports compensation for any worker that is
5 likely to have been harmed by occupational
6 radiation exposure. However, it also strongly
7 believes that such a determination should be
8 informed by the science.

9 That concludes my comments for the day, and I
10 thank you for the opportunity of sharing them
11 with you.

12 **DR. ZIEMER:** Thank you very much, Brian. We
13 appreciate the input to -- to the Board.

14 Next I have Sandra Jackson. Is Sandra here?

15 **MS. JACKSON:** I appreciate this opportunity to
16 present some information. I'm -- I'm standing
17 up for my -- can you not hear me?

18 **DR. WADE:** Speak up just a little --

19 **DR. ZIEMER:** Just a little -- little closer.

20 **MS. JACKSON:** Okay. Is that better?

21 **DR. ZIEMER:** That's good.

22 **DR. WADE:** That's good.

23 **DR. ZIEMER:** That's good.

24 **MS. JACKSON:** Okay. I am representing my dad,
25 who died of pancreatic and liver cancer in

1 1992. My dad, Donald Eugene Rauch, worked for
2 Sandia National Labs from 1950 to 1981. During
3 that time he worked at Nevada Test Site and
4 Tonapah Test Site. He was a weapons handler
5 and assembler, with training that started in
6 1957, and all of this is verified by NIOSH.
7 NIOSH reports only five years of dosimetry
8 records for monitoring radiation during 1965,
9 1966 at the Nevada Test Site, and during 1959,
10 1972 and 1973 at Sandia National Laboratories
11 in Albuquerque. The dosimetry records are few
12 and far in between. NIOSH claims that this is
13 due to the fact that he worked with non-nuclear
14 weapons.

15 From the research that my brother and I have
16 done, and the knowledge given to us that was
17 reported by my dad to us, we know that he
18 worked with nuclear weapons far more
19 extensively than is shown. My brother Don and
20 I started with our claim in November 2001. His
21 NIOSH record number was 2,076. We have fought
22 to keep the case open, bringing new evidence of
23 his exposure to radiation and the culmination
24 of radiation that caused his death from
25 pancreatic and liver cancer in 1992. Hints

1 from sympathetic caseworkers gave us directions
2 to find certain health records to validate
3 radiation exposure. We've gone to great
4 expense and time to work on this for all of
5 these years to get records, et cetera, only to
6 find that NIOSH already had them and are still
7 -- and we're still not any closer to
8 resolution. We're now being pressured to close
9 the case, even though I have an affidavit of a
10 gentleman that worked with Williams Electric
11 Engineering and remembers seeing my dad during
12 the Sedan test in 1962 showing evidence that he
13 was at the Nevada Test Site on multiple
14 occasions, directly involved at the set-up and
15 clean-up of test shots, which they have not yet
16 recognized.

17 In my packet I have a complete letter that my
18 brother wrote to the DOL in January of this
19 year concerning the extremely poor way this
20 whole situation has been handled. I've
21 requested this letter to be included and be
22 read thoroughly so as not to take up too much
23 time at this point. No response was made to
24 this letter for two months, until we contacted
25 Senator Reid's office and Kathleen Rozner sent

1 a fax to NIOSH demanding a response. One of
2 the points that was brought out by this letter
3 is the DOL did not comply with their own
4 procedures in completing a dose reconstruction.
5 Dose reconstruction was completed before a
6 previously-assigned oral interview which left
7 out important facts which should have been
8 included in the reconstruction. Dose
9 reconstruction was completed in 12/12/05, and
10 the interview was done 12/13/05.
11 Each time new material was found and a new dose
12 reconstruction was completed, the dose levels
13 were lowered from previous reports, keeping the
14 level below the 50 percent needed to follow
15 through with the compensation. How could rem
16 to the pancreas in the report done on 12/12 of
17 '05 be only 15.282, when in the previous report
18 only seven months prior on 5/12/05 it was
19 64.412, even though there were more dosimetry
20 records found, more medical problems and
21 evidence discovered. They called this
22 efficiency. Efficiency seems to be another
23 word for claimant elimination process.
24 We have more than 16 caseworkers listed in my
25 brother's letter over these five years that

1 we've been trying to work through this
2 compensation process. Each new caseworker
3 didn't know what had been done prior. We
4 started each time from scratch, educating them
5 and getting them up to speed, wasting even more
6 time.

7 As we went through the process we were
8 constantly having to prove the facts of our
9 dad's medical history, as well as his
10 employment history. Example, I had school
11 records from 1960 to 1962 that we lived in
12 Tonapah, and that was where my dad, from
13 Tonapah, went to the Tonapah Test Site. That
14 was not sufficient. I was told we needed an
15 affidavit of somebody who worked with him at
16 the test site. When I found land records of a
17 home that my dad purchased in Tonapah,
18 miraculously his records of working in Tonapah
19 at the test site showed up with medical records
20 during this same time period. They already had
21 the information that he worked there, and the
22 medical records, before they asked us to prove
23 that he had lived there.

24 Prior to our family moving to Tonapah to live,
25 my dad was flying out of Albuquerque on Monday

1 mornings for Las Vegas, where he was taken to
2 the Nevada Test Site, and flying back home on
3 Friday evenings. I remember my mom and I
4 picking him up many Friday evenings. This must
5 have been the time that he was being trained as
6 a weapons handler and assembler. There's no
7 record of this time spent at the Test Site.
8 With the top secrecy of the Nevada Test Site,
9 surely there was some sign-ins at the
10 checkpoint for everybody in and out of that
11 site. No one has made any efforts to find
12 those sheets that would have given proof to so
13 many people who were in and out of that
14 facility. Have those sign-in sheets
15 conveniently disappeared like the dosimetry
16 records?
17 NIOSH states that in their report the Tonapah
18 Test Site was primary -- provided an isolated
19 place to test ballistics and non-nuclear
20 features of atomic weapons, and they explained
21 it wasn't necessary for badge readings. When
22 we lived in Tonapah from 1960 to '62 I remember
23 my dad worked very late. He told me later in
24 life that he would go to the Tonapah Test -- he
25 would go from the Tonapah Test Site to the

1 Nevada Test Site to participate in test shots.
2 I just by chance ran across a gentleman that
3 worked for Reynolds Electric Engineering and he
4 actually remembers my dad during the Sedan test
5 shot. His affidavit is included in my complete
6 notes and I will read a little bit about what
7 he said in the affidavit. It asked work that
8 the employee did, and this is by Horace Wiley.
9 (Reading) Donald Rauch from Sandia National Lab
10 duties. They brought in the nuclear device,
11 set it in place, ran dry (unintelligible) from
12 the diagnostic trailer 1,000 to 1,500 feet from
13 the point of detonation, supervised correct
14 placement, number and size of cables, and
15 monitored the detonation from the control point
16 hill one to two miles away. Sandia's crew went
17 back in for cleanup after the Sedan shot the
18 very next day.
19 This is his knowledge of the employees worker
20 relating to my dad. And this is -- he said
21 (reading) I worked for Reynolds Electric
22 Engineering. Our crew's duties were to set up
23 the cable of power and hydrogen to the canister
24 that held the nuclear device for the test.
25 Donald Rauch and the Sandia crew ran diagnostic

1 tests to record the action and resistant, and
2 told us how many cables, size, and their
3 correct placement to make sure of the
4 continuity of the test. The next day our crew
5 went in to release the cables and clean up with
6 the Sandia crew, including Donald Rauch,
7 supervising us as to what needed to be done.
8 Many times the tests were still flaring when we
9 went in, and Sedan continued to flare for many
10 weeks afterwards. I did see Donald Rauch at
11 the Nevada Test Site many times over the course
12 -- several times over the course of the time
13 that I was working at the Nevada Test Site from
14 the late '50s to the mid-'60s. I worked in
15 areas eight, nine and ten and in the flats.
16 Due to the amount of years that have passed and
17 the large number of tests, I cannot be specific
18 with the dates and test shots other than the
19 Sedan test, which left a strong and clear
20 impression in my mind. This information I've
21 related to Sandy Jackson and she's compiled it
22 for continuity and ease of reading. I have
23 read through the information and 'firm what is
24 provided here is accurate. As far as the Sedan
25 nuclear test as just one of them, this took

1 place on July 6th of 1962 of -- of the
2 Operation Plowshare program to investigate the
3 use of nuclear weapons for mining, cratering
4 and other civilian purposes. This blast
5 yielded 104 kilotons. The only one larger than
6 that was 105. It consisted of 12 million short
7 tons of soil, resulted in a radioactive cloud
8 that rose to an altitude of 12,000 feet. The
9 dust plume headed northeast and then east
10 towards the Mississippi River. It created a
11 crater of 320 feet deep and has a diameter of
12 about 1,280 feet.

13 So it was a huge test and -- and exploded, and
14 I have copies that will be included of all of
15 the other tests, which were very low, less than
16 20 KTs, 38 kilotons, 25 kilotons, so 104 was
17 huge.

18 On August -- let's see, I want to make sure --
19 NIOSH reports -- excuse me. Just that one shot
20 could have had a very large impact on his
21 health and certainly could have been
22 contributory factor to all the cancer that he
23 had over the years, culminating with his death.
24 His affidavit shows that my dad was at the
25 Nevada Test Site and involved in who knows how

1 many other tests. Where are those dosimetry
2 records?

3 On August 9th, 1963 after we returned to
4 Albuquerque from Tonapah, Dad had to have a
5 thyroidectomy due to growths on the thyroid.
6 Because of the biopsy of the tumors came out
7 non-malignant, NIOSH did not even recognize or
8 include the surgery as definitive evidence of
9 radiation exposure. There is a clear
10 indication that the people near Chernobyl had
11 the same growths on their thyroids due to
12 radiation exposure. These growths generally
13 led to cancer if left untreated. It seems the
14 fact that the nodules were removed before
15 becoming cancers negated the exposure.
16 A few of the stories that Dad told us over the
17 last several years of his life -- I myself
18 received some of these stories. He was told to
19 put his badge in the refrigerator and walk down
20 to ground zero just days after they set off the
21 test. At times he knew he had received high
22 radiation. When he turned in his badge, the
23 lab came back with inconclusive results due to
24 a lab malfunction.
25 In the early '70s Dad became very sick and the

1 doctors were unable to find the cause. He had
2 a friend who recognized it as radiation
3 sickness due to his friend being present at
4 Hiroshima. The friend told him about the baths
5 with iodine and salt and I can't remember what
6 else were added into it that were used in
7 Hiroshima on survivors of the nuclear bomb. He
8 did the radiation cleansing baths for the
9 specified time and the symptoms went away.
10 He was in the test group right before his death
11 in 1991. As I remember, it was Sandia-
12 authorized, consisted of five men that they
13 were able to find still living in the
14 Albuquerque area that had worked at the Test
15 Site. Two had been diagnosed with cancer when
16 Dad found out he had cancer. One died, the
17 other one was critical, and before he died the
18 fourth one was diagnosed with cancer.
19 He related when he first started being exposed
20 to radiation they were allowed 18 Rograms of
21 exposure per year as being safe. Over the year
22 that was low-- over the years that was lowered
23 to eight Rograms of exposure per year, less
24 than half. They realized that the dosages were
25 too high and the exposure at higher dosage

1 would be detrimental to health. He was very
2 concerned to what the higher dosage that were
3 allowed in the early years would do to his
4 health.

5 My sister-in-law, which is my brother's wife,
6 was told of him being sick after working on the
7 bombs and the badge that had been shown high
8 radiation. One time he was told to take off
9 and keep working because it showed such high
10 radiation. They all got sick and Sandia denied
11 that anything was wrong. He talked about
12 canisters leaking and Sandia trying to cover it
13 up, that they received too much radiation many
14 times. Even when the badge registered high
15 they would say it was okay. He would talk to
16 me often about all of this, and was very
17 worried that he would die from cancer from the
18 radiation. He had many skin cancers received
19 over the years, including melanoma. His head
20 would break out with infections.

21 Back to just my comments, my dad and thousand
22 of other workers were dangerously exposed to
23 radiation and other caustic elements. They
24 suffered lingering health problems and much
25 pain right up to their deaths. Maybe at first

1 the government didn't realize the seriousness
2 of the radiation exposure, but as they studied
3 and reviewed the results of this radiation and
4 the devastation, they do know now and have
5 known for many years. These workers trusted
6 their employers and their government to do
7 right by them. When they saw how they were
8 being used and exposed and tried to speak out,
9 they were told to shut up or lose their jobs.
10 I see millions of dollars being wasted to pay
11 caseworkers that don't have a clue. They
12 shuffle paperwork from desk to desk. They keep
13 those who deserve compensation from receiving
14 it. Bureaucracy, red tape and cover-ups must
15 be stopped here and now. These people are
16 truly the unsung heroes of the Cold War. Their
17 sacrifices allowed our country to gain world
18 supremacy in nuclear atomic fission and -- and
19 to be known as a country not to be contended
20 with. They are just as important as those
21 soldiers that fought and gave their lives to
22 keep our country free. Recognition for these
23 workers' sacrifices and due compensation which
24 cannot begin to make up for the suffering, loss
25 of life and the pain of those families who were

1 left behind needs to be given now or all of
2 this suffering and loss of these lives will be
3 in vain. Thank you.

4 **DR. ZIEMER:** Thank you very much, Sandra, for
5 sharing that with us.

6 This now concludes our public comment session
7 for today. There will be another public
8 comment session tomorrow at -- I'm looking for
9 the time -- tomorrow at 7:30.

10 We stand recessed until tomorrow morning at
11 8:30.

12 (Whereupon, the meeting was adjourned at 6:20
13 p.m.)

14

15

16

17

18

19

1

2

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Sept. 19, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 18th day of November, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**