

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

SEC ISSUES

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held at the Marriott Airport, Hebron, Kentucky, on January 17, 2007.

C O N T E N T S

January 17, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
AMES REPORT	10
NTS REPORT	61
83.14 ISSUE	108
GENERAL ATOMICS	109
MONSANTO	119
COURT REPORTER'S CERTIFICATE	131

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 MELIUS, James Malcom, M.D., Ph.D.
2 Director
3 New York State Laborers' Health and Safety Trust Fund
Albany, New York

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

ANNOUNCED PARTICIPANTS

BEHLING, HANS, SC&A
BROEHM, JASON, CDC
CHANG, CHIA-CHIA, NIOSH
ELLIOTT, LARRY, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
RUTHERFORD, LAVON, NIOSH
SCHOFIELD, PHILLIP, ABRWH

P R O C E E D I N G S

(10:00 a.m.)

WELCOME AND OPENING COMMENTS**DR. LEW WADE, DFO**

1 **DR. WADE:** This is a work group of the
2 Advisory Board. This is the work group on SEC
3 issues, including the 250 day issue and the
4 preliminary review of 83.14 SEC petitions.
5 That work group is ably chaired by Dr. Melius,
6 members Ziemer, Roessler and Griffon. We'll
7 introduce ourselves around the table, but Drs.
8 Melius, Ziemer and Roessler are here.

9 Mark Griffon, are you on the line?

10 **MR. GRIFFON (by Telephone):** Yes.

11 **DR. WADE:** Good. I know that Robert Presley
12 is also on the line. He's invited because of
13 the overlap between his work group related to
14 the Nevada Test site and the 250 day issue.
15 Are there any other Board members on this call
16 other than Mark and Robert? Any other Board
17 members on the call?

18 (no response)

19 Well, we don't have a quorum of the
20 Board which means we can continue with our

1 business of the work group.

2 I would ask if there are any SC&A
3 employees on the call that you identify
4 yourself.

5 **DR. MAURO (by Telephone):** Yes, John Mauro.

6 **DR. WADE:** Welcome, John.

7 **DR. BEHLING (by Telephone):** Hans Behling.

8 **DR. WADE:** Welcome, Hans.

9 Anyone else from SC&A on the call?

10 (no response)

11 **DR. WADE:** Anyone from the NIOSH/ORAU team
12 on the call?

13 (no response)

14 **DR. WADE:** NIOSH/ORAU team?

15 **MS. CHANG (by Telephone):** Chia-Chia Chang
16 with the NIOSH Director's office.

17 **DR. WADE:** Any other federal employees who
18 are on the call by virtue of their federal
19 employment?

20 **MS. HOMOKI-TITUS (by Telephone):** This is
21 Liz Homoki-Titus with Health and Human
22 Services.

23 **DR. WADE:** Welcome, Liz.

24 **MR. KOTSCH (by Telephone):** Jeff Kotsch,
25 DOL.

1 **DR. WADE:** Jeff, always a pleasure.

2 **MR. BROEHM:** Jason Broehm, CDC, Washington
3 office.

4 **DR. WADE:** Welcome, Jason.

5 Are there any worker reps,
6 petitioners, people involved in the process
7 who would like to be identified as
8 participating?

9 (no response)

10 **DR. WADE:** Anyone who would like to be
11 introduced?

12 (no response)

13 **DR. WADE:** Okay, Jim, you've got it okay
14 from here.

15 **DR. MELIUS:** Go around the table? Jim
16 Melius, a member of the Advisory Board.

17 **DR. MAKHIJANI:** Arjun Makhijani, SC&A.

18 **MR. ELLIOTT:** Larry Elliott, NIOSH.

19 **MR. RUTHERFORD:** LaVon Rutherford, NIOSH.

20 **DR. WADE:** Lew Wade with NIOSH and the
21 Advisory Board.

22 **MS. HOWELL:** Emily Howell, HHS.

23 **DR. ROESSLER:** Gen Roessler, Advisory Board.

24 **DR. NETON:** Jim Neton, NIOSH.

25 **DR. ZIEMER:** Paul Ziemer, Advisory Board.

1 **DR. WADE:** We're done, and I would ask you
2 all to just remember practice good phone
3 courtesy. Mute the phone if you're
4 participating. Use the hand set as opposed to
5 the speaker phone. Be mindful of background
6 music on your line when you put the phone on
7 hold. We've experienced all of those things,
8 and we'd rather not experience them again.
9 Thank you.

10 Jim.

11 **DR. MELIUS:** Just to give people a sense of
12 the agenda for the day, what I thought we
13 would start out with talking about the Ames
14 report. Then we would move on to the recent
15 report on the Nevada Test Site, and then,
16 those are sort of the 250 day portions of this
17 meeting. And then the second portion of the
18 meeting will deal with the 83.14 issue. And
19 in that case we'll be using as examples for
20 discussion the General Atomics and the
21 Monsanto reports that we reviewed at the last
22 meeting.

23 I talked to Larry about a week ago,
24 ten days ago, and there were at that time no
25 83.14's and sort of in position to be

1 presented shortly that we would, were sort of
2 available for discussion. So I thought we
3 could at least be helpful to discuss those
4 other two. And Mark Griffon and I have done
5 some follow up on those so I think we have a
6 sense of some of the issues related to that.
7 So that will be sort of the third portion of
8 the meeting.

9 **AMES REPORT**

10 And maybe to start out, I'm not sure
11 who wants to present the Ames report. This is
12 something --

13 **DR. MAKHIJANI:** Hans, I think.

14 **DR. MELIUS:** Okay, go ahead, Hans. Do you
15 want to just sort of briefly describe the
16 report and sort of walk us through and then
17 the conclusions?

18 **DR. BEHLING (by Telephone):** Yeah, as you
19 know, our original draft report that actually
20 looked at the SEC covered some of the issues
21 that I covered in the most recent report. And
22 in this recent report it just simply amplified
23 some of the earlier observations and comments.
24 Among the things that are included in this
25 report is an interview with who was

1 a former worker at the Ames Laboratory. He
2 was a person who apparently worked there for a
3 period of years starting either in or
4 . He doesn't know the exact date, but it does
5 cover the timeframe during which the thorium
6 reduction process was in full swing and
7 obviously his comments speak for themselves.

8 In addition, I was also able to obtain
9 from the ISU, that is the Iowa State
10 University archives, a copy of an interview
11 with where he, again, personally
12 validates the claim that was initially
13 identified as a reference in the Dr. Payne's
14 doctoral thesis involving the bombs and
15 explosions and fires, the issue of the
16 frequency, and of course, the involvement of
17 workers who were asked to put out the fires.

18 One of the major issues here is the
19 duration of exposure involving people who may
20 have been party to these explosions and fires.
21 I think early on the assumption was that
22 people's good sense would have them running
23 out the door immediately and minimizing their
24 time period for exposure. That apparently was
25 not the case for multiple reasons.

1 One, the people there were expected to
2 participate in the putting out of these fires
3 because of the classified nature of the work
4 which precluded the use of local firemen to
5 come in there and control the fires.

6 The other thing was the frequency by
7 which these fires occurred, or explosions
8 occurred, to the point where people became
9 extremely insensitive to these things because
10 of their frequency. And in my interview with
11 it was clear that the frequency numbed these
12 people to the sense where they just continued
13 working if they weren't directly involved in
14 the fire.

15 So we have frequent events that are
16 certainly classified as radiological events.
17 And we have people who were exposed to these
18 events for extended periods of time, meaning
19 that it's likely that their exposures were
20 substantial and these exposures happened
21 routinely.

22 **DR. MAKHIJANI:** You mean routinely as a
23 result, not routinely but rather as a result
24 of frequent incidents.

25 **DR. BEHLING (by Telephone):** Yes. I don't

1 want to say this is a routine radiological
2 environment, meaning that these events did
3 occur frequently in a sense where virtually
4 anybody who was potentially exposed for much
5 less than 250 days would have been a
6 participant, a passive participant, in these
7 events at some point in time.

8 Also, let me add that there was an
9 appendix in the report, and I added this
10 appendix. It comes from one of the documents
11 I received from the library that acknowledges
12 workers, and the point of that particular
13 appendix is to acknowledge the fact that there
14 were probably substantial numbers of people
15 who may have been employed for periods less
16 than 250 days.

17 And the appendix you see in the most
18 recent draft report involves workers who were
19 not production workers but scientists, staff
20 members, people who worked during that period
21 of time early on uranium period from about '42
22 to '47 or something like that. And what you
23 can extract from that information is that
24 among the professional staff there were about,
25 I believe, about 22 people who were employed

1 for periods of less than 250 days. Now I
2 would consider these people a smaller fraction
3 from the total workforce when you include
4 production workers.

5 What the point of this is is that if
6 you were to include production workers, you
7 would probably have a substantial number of
8 people who were probably employed for less
9 than 250 days, and therefore, potentially
10 exposed to these events. In fact, the numbers
11 of people that you saw identified as people
12 who may have been exposed to these events but
13 were less than 250 days were people who were
14 awarded a bronze pin.

15 And there were three levels of awards:
16 gold, silver and bronze pins. And of course,
17 the bronze pins involved people who had the
18 shortest duration of employment. These people
19 are not process workers; and therefore, I
20 suspect that there are quite a few numbers of
21 people.

22 And again, we've heard from Dr. Neton
23 earlier that the number of people who might be
24 eligible are few. However, that number does
25 not include our potential people who may have

1 realized that their employment period was less
2 than 250 days which excludes them from even
3 applying. And therefore, we're not
4 necessarily looking at the correct number of
5 people who may be affected by this rule.

6 **DR. NETON:** This is Jim Neton. I guess if I
7 could chime in. A couple thoughts on Hans'
8 introductory remarks. One is it's not clear
9 to me that the frequency of these events is
10 relevant to the evaluation of the 250 day
11 exposure period. Frequency in and of itself
12 doesn't speak to exceptionally high exposures
13 which is really the litmus test, I think, in
14 the regulation. We can have many routine
15 frequent exposures and do they rise to the
16 level of something equivalent to a criticality
17 event. I mean, those are the criteria we
18 really have to, I think, evaluate.

19 The second issue was those non-
20 production workers who have had less than 250
21 days, it's not clear in my mind that, you
22 know, if you're going to define a class for
23 less than 250 days, you have to put your hands
24 around it. And I don't know that non-
25 production workers, who were not in the plant

1 itself maybe when these events occurred, were
2 actually exposed. That would have to be fully
3 fleshed out and investigated. But you
4 identified a population of less than 250 days
5 but those would have had to be bounced against
6 a class that was identified as potentially
7 having these exceptionally high exposures.

8 **DR. MELIUS:** But I think the frequency of
9 the incidents, I think, doesn't necessarily
10 sort of meet the health endangerment criteria
11 itself although what it does point out to is
12 that the difficulty of, the fact that many
13 different people may have been exposed. We're
14 dealing with a situation where we have almost
15 no monitoring data.

16 We have no incident reports, and we're
17 going back so far in time I doubt if we have
18 very good ability to use personal recollection
19 or interviews with claimants in order to be
20 able to have them affirm one way or the other
21 what kind of incident were they present at and
22 so forth. And I agree that I think the crux
23 of the, in my mind when I say, you know, I'm
24 convinced that some number of people at this
25 facility were exposed to a significant amount

1 of radiation in a short period of time.

2 We can't reconstruct the dose for
3 those incidents. I think that the crux of the
4 issue, the harder part is what you pointed
5 out, Jim, is how do you then define a class
6 that includes this. I think in the
7 circumstance of given how little data there
8 is, is it fair to put the burden on the
9 claimants to prove that, to prove that they
10 were exposed? Because, I mean, there's just
11 no ability, even if they make a claim, even if
12 a person's alive and says, you know, fit the
13 criteria less that 250 days, was present and
14 so forth.

15 We have no ability to really affirm
16 that or I should say maybe confirm that from
17 records and even the practicality of coworker
18 information. I mean, I agree with you, I
19 guess one could think of a situation where
20 someone would have very incidental exposure
21 there from this list of people that worked
22 there 250 days. But we have no good way of
23 separating out one from the other.

24 **DR. BEHLING (by Telephone):** And Dr. Melius,
25 can I interject something here?

1 **DR. MELIUS:** Yes.

2 **DR. BEHLING (by Telephone):** Regarding the
3 frequency, and I think the point here that
4 needs to be made is that if you can reasonably
5 assume that these kinds of incidents happened
6 throughout the full duration from '42 to '53
7 or even beyond that, one doesn't -- let's
8 assume that there was only a single event,
9 then of course, the 250 day criteria would
10 only apply to those people who were on either
11 side of that event in terms of being hired.

12 Since the fact that we can reasonably
13 conclude that these events happened almost
14 routinely over -- or I should stop using the
15 word routine, but frequently throughout the
16 whole period virtually meaning that every
17 person who was there for periods of even from
18 a few weeks to a couple months or so, would
19 have been potentially affected by these
20 events.

21 And therefore, the SEC class that
22 might include the less than 250 day employment
23 period issue would affect virtually everybody
24 from the start of the project to the end of
25 the project. And I think that's the point I

1 wanted to make here.

2 **DR. NETON:** I understand that, Hans, but you
3 really do have to keep going back and thinking
4 about is an individual incident that you
5 described sufficiently exceptionally high
6 equivocal criticality. I mean, that's the way
7 the regulation reads.

8 **DR. BEHLING (by Telephone):** Well, you can
9 come to that conclusion, Jim, when you look at
10 the size of these volumes that in some
11 instances were many, many kilograms. And the
12 area in which these events took place were
13 relatively small so that you're not talking
14 about a huge facility, that the air
15 concentrations would have been very, very
16 high.

17 And of course, it would have involved
18 everything from very small particles to large
19 pieces of particles that a person might have
20 been subjected to in the process of putting
21 out the fire or dealing with it or just simply
22 keeping on working. So at this point we're
23 not in a position to reconstruct the exposures
24 other than to say that they were probably very
25 high airborne concentrations and the duration

1 of exposure would have been potentially fairly
2 extensive.

3 **DR. MELIUS:** Gen.

4 **DR. ROESSLER:** I think you're hitting on the
5 point that I have a question about it. I know
6 we don't have dosimetry or anything, but it
7 seems somebody could develop a scenario for
8 that situation. How much could have been
9 released in that environment over a short
10 period of time, and what would be the impact
11 then on doses.

12 I mean, I have no feeling when you
13 said probably high exposures, I have no
14 feeling for what that means. I think you have
15 to take into consideration the radioactive
16 material, the dose I would assume would be to
17 the lung, and come up with some number that
18 would help us evaluate it.

19 **DR. BEHLING (by Telephone):** Well, we could
20 possibly look at a single event. We have some
21 understanding of how much of material was in
22 one of these particular explosions -- I think
23 that information is even included in my report
24 -- and come up with some kind of an
25 assessment. But again, it would be very

1 crude.

2 And I think the point is we really
3 don't know the definitive answers to those
4 questions even if we make an attempt to
5 reconstruct something. I think that's the
6 essence of an SEC is that you really don't
7 have the data.

8 **DR. MAURO (by Telephone):** This is John
9 Mauro. I seem to recall in the earlier report
10 where you have some data not necessarily
11 associated with the explosions but associated
12 with, I guess, just airborne samples collected
13 during operations. And even when there
14 weren't -- my recollection, please correct me
15 if I'm wrong with that -- even during routine,
16 non-explosion time periods the dust loadings
17 were fairly high.

18 And I recall your citing some airborne
19 concentrations and associated dose rates to
20 various organs over short periods of time that
21 were fairly high. I realize this doesn't go
22 toward the explosions, but the implication
23 would be, well, you would expect whatever the
24 exposures were during the explosions that they
25 may be substantially higher than the ones that

1 they observed, what you would call more or
2 less routine operations.

3 **DR. BEHLING (by Telephone):** Yeah, John,
4 you're making reference to the 1963 AEC
5 Inspection Survey. And of course, these were
6 non-radiological events, and you had very,
7 very high airbornes even during routine, which
8 during the event of an explosion would have
9 even been further amplified due to the
10 suspension.

11 And so now you have two things,
12 contamination that is part of a routine
13 environment and then after the explosions that
14 would have added to that and also raised the
15 airborne by re-suspending contamination levels
16 that were part of the normal, natural working
17 environment. So it's very difficult to
18 reconstruct everything, but one can certainly
19 conclude that the doses would have been
20 substantial.

21 **DR. MAURO (by Telephone):** What level of
22 exposure? I don't recall the numbers, but I
23 remember them being high.

24 **DR. NETON:** John, this is Jim. I thought
25 about that before the meeting, and I recall

1 that when Hans did his calculation for the
2 thorium, I think he took the highest air
3 concentrations that were observed in the
4 inspection at the level of 10,000 dpm or
5 something like that.

6 **DR. MAKHIJANI:** No, Jim, they were daily-
7 weighted averages.

8 **DR. NETON:** Daily-weighted averages, and
9 they were high, but SC&A has a practice of
10 always couching things in terms of 50 year
11 doses which, of course, are not really
12 applicable here. It's really comparing apples
13 and oranges.

14 So I've gone back and generated a
15 table. I don't have it to hand out, but I can
16 sort of describe. If one looks at thorium
17 exposures and really calculates the annual
18 incremental doses that occur from those types
19 of exposures, say like a hundred, two hundred
20 gram lifetime, 50 year dose to bone surfaces
21 which is, you know, SC&A always maximizes
22 these things, typed, you know, soluble,
23 thorium, 50 year dose, that sort of thing.

24 You end up, it turns out that you
25 rarely get more than one percent of the total

1 dose in any given year for those exposure
2 scenarios, for Type M anyways. I think when
3 you get into Type S it might be a couple
4 percent, but what I'm saying is if you can
5 come up with a 200 rem dose, which sounds
6 large, in equivalent to a criticality, that
7 would be delivered over 50 years. And the
8 first year dose would be somewhere on the
9 order of two rem.

10 **DR. MAURO (by Telephone):** That's helpful
11 information. That's why I brought it out.

12 **DR. NETON:** And I think we need to
13 concentrate, focusing on that issue because we
14 can't be comparing 50 year doses. If a cancer
15 develops ten years subsequent to the exposure,
16 the 50 year dose is irrelevant because the
17 extra 40 years of dose doesn't contribute at
18 all to the development of the cancer.

19 **DR. BEHLING (by Telephone):** Well, I agree
20 with you, Jim, but you're also minimizing it
21 now by assuming it's ten years as opposed to
22 23 years.

23 **DR. NETON:** Well, I'm not saying it's that,
24 Hans. What I'm saying though is a 50 year
25 dose is a protracted dose that's delivered

1 over a large period of time. The equivalent
2 weighting factors that are used for the risk
3 models are very different. It's not
4 equivalent. It cannot be directly compared to
5 a criticality event that happens
6 instantaneously and delivers 200 rem to,
7 matter of fact, all organs which contribute
8 more compositely to the risk than an
9 individual organ is irradiated at 200 rem.
10 It's a very different risk value there.

11 **DR. MAKHIJANI:** Just for the record --

12 **DR. BEHLING (by Telephone):** I won't
13 disagree with you, Jim. We obviously used the
14 50 year committed effective dose equivalent
15 because it was the convenient tool, and we
16 don't really have a timeframe that might be
17 representative. It's an upper bound value.
18 That's clearly the case.

19 But it also has to be recognized that
20 this was from everyday working environment at
21 certain locations that are credibly done by
22 the AEC who was there not to do anything other
23 than to assess the conditions as they saw them
24 in 1953. And this was an exposure for a
25 single eight-hour work, or nine-hour workday.

1 So the doses were substantial for routine
2 radiological exposures.

3 **DR. NETON:** All I'm saying, Hans, though, is
4 you need to consider. Is this an
5 exceptionally high exposure comparable to a
6 criticality event? I think we tried to flesh
7 that out in the last meeting where we started
8 to identify certain scenarios that would reach
9 that bar, that level of exposure.

10 **DR. BEHLING (by Telephone):** And we did not
11 include that issue in this report as you know.
12 We avoided the issue of routine working
13 radiological conditions where this reports
14 focus strictly on the radiological incidents
15 for that region.

16 **DR. MELIUS:** Hans, Arjun next and then Paul,
17 please.

18 **DR. MAKHIJANI:** Let me just say something
19 for the record and then something from my
20 notes in the last meeting. We've never used
21 Type F thorium in our calculations.

22 **DR. NETON:** There is no Type F thorium, Type
23 M.

24 **DR. MAKHIJANI:** You said Type F, I believe.

25 **DR. NETON:** That's soluble thorium which

1 would be M. There is no Type F thorium.

2 **DR. MAKHIJANI:** We've used Type M and Type
3 S. Just for the record, we've used both.

4 From the last meeting the notes I
5 compiled and circulated in the Nevada report
6 indicate -- and we'll have to go back to the
7 transcript to see who said what. But this is
8 my recollection that we had a discussion on
9 the very point of internal doses, and the
10 qualitative things that were put forward where
11 the internal dose or intakes would be regarded
12 potentially as comparable to exceptionally
13 high exposures in the rule were substantial
14 fires like the one at Rocky Flats in '69 or
15 intense thorium fires at Fernald.

16 High intake potential, for instance,
17 during maintenance or other limited duration
18 operations that were not monitored like the
19 18,000 MAC at Fernald during a maintenance
20 operation if the workers were not monitored.
21 And significant failure of radiological
22 controls associated with an incident, for
23 example, sending people to work in a
24 contaminated environment that had not been
25 cleaned up or failures of interlock systems

1 resulting in high exposures.

2 So those were some of the examples
3 that were mentioned that I compiled and
4 circulated some time back for internal from
5 the last time. Of course, it's very difficult
6 to say whether the blowouts would be
7 comparable. There were lost of blowouts at
8 Fernald, and I would suggest that the reason
9 you were saying there were evacuations at
10 Fernald.

11 There were evacuations if I remember
12 right, and so the dust levels presumably would
13 be such that work, in the '50s anyway, would
14 not be regarded as normal in those
15 circumstances. And so I would think that the
16 doses without a calculation should be assumed
17 to be considerably higher than daily-weighted
18 average routine anyway. So, and here the work
19 -- I don't know that that's the case.

20 **DR. NETON:** When you have a discrete event
21 that blows something in the air, and uranium's
22 a fairly heavy metal, that settles out quickly
23 in my experience.

24 **DR. MAKHIJANI:** Yeah, but work was
25 continuing --

1 **DR. NETON:** I understand, but if it settles
2 out over a period of minutes, an hour or more,
3 exposures are down versus a daily-weighted
4 average which is a constant process that's
5 continually re-injecting material into the
6 air. I don't think that --

7 **DR. MAKHIJANI:** That is really a speculation
8 on what fraction of the material is fine
9 particles and what fraction of the material is
10 heavy particles. And if you've got a 60
11 kilogram blowup, you know, then you have to go
12 to the size of the room and the kind of a
13 scenario that Hans has talked about. I mean,
14 I'm not opposed to going to those kind of
15 scenarios, but at the end of the day if you
16 have first, your dose of four rem from such a
17 scenario, can you say that it's not 20 rem?
18 Can you bound it within an order of magnitude?
19 I don't know. I mean, this is maybe a
20 judgment --

21 **DR. NETON:** Correct, but even at that level
22 does it get to the, get to that test of the
23 exceptionally high level of exposure
24 equivalent to a criticality?

25 **DR. MAKHIJANI:** That's my point; that's my

1 point. If you get to four rem in such a
2 calculation, can you say that I know that it's
3 not 40 rem in the first year? Can you say
4 that? And at the end of the day that's the
5 kind of judgment that you have to make if you
6 do a calculation. But I think maybe some
7 utility of the idea of the calculation,
8 there's no harm in doing it, but I don't know
9 what the utility of it would be.

10 **DR. MELIUS:** Paul, you've been patient.

11 **DR. ZIEMER:** Well, there's two pieces to
12 this though. I think we've talked a bit about
13 them, but I'm going to go back a moment with
14 the frequency issue. I do think in a sense
15 it's important if we can establish, for
16 example, let's take the extreme. There was
17 one fire or one blowout to it's happening
18 twice a week for five years. It's somewhere
19 in between there.

20 I don't know if we know, do we know
21 for sure that it was -- to use Hans' words --
22 regular throughout this time period? Or is it
23 like the first rainbow trout that I caught
24 which is about 18 inches long when I caught
25 it, but actually when I tell my kids about it

1 now it's closer to 50 inches long. It grows
2 every year.

3 A lot of, and I've seen it in my own
4 institutions. A lot of events become more and
5 more spectacular. I just want to know how
6 well do we know sort of this frequency issue,
7 if we can get a handle on it. Is it like once
8 a month? Was it a weekly thing?

9 Hans, maybe you can address that in a
10 moment, but I'd like to get a feel for the
11 extent to which we can say that this was
12 applied to people throughout this time period.

13 Then the other part of it, I really am
14 interested in the sort of short-term dose.
15 Now I've seen, in fact I can think of a case
16 where I had a worker who had an incident where
17 basically his full sample became airborne, and
18 he was in breathing that sample and received,
19 and we had great dosimetry because we can
20 follow.

21 We followed urine. We did whole body
22 counting. We did nose swabs, and so we could
23 pretty well determine his dose in the first
24 year. And it was in the range of 20 rem to
25 the chest or to the lungs. And that was an

1 incident that occurred in just a matter of
2 minutes. So these things can occur, but in
3 that particular case, he had to have a curie
4 of activity become airborne in a very enclosed
5 space.

6 It seems to me that if we have some
7 source term information and make some
8 assumptions, we could sort of at least get an
9 order of magnitude idea of whether we're
10 talking about millirems or multiple rems or
11 rads if you want to do it in rads or sieverts
12 and greys. But it seems to me it would be
13 somewhat helpful to at least be able to say
14 more than we think the dose was high because
15 it actually is pretty hard to deliver real
16 high doses by inhalation in short periods of
17 time.

18 And you can go back and look in the
19 literature, and there's a lot of cases where
20 people are exposed, where we know of the
21 dosimetry and know the source terms. And it's
22 surprising the small fraction of the total
23 source term that it's possible to ingest in
24 even minutes or longer.

25 **DR. BEHLING (by Telephone):** Let me respond

1 to the issue of frequency. I think there's no
2 better testimony that has greater strength
3 than that from himself. And as you saw
4 in the first draft report and the second one,
5 I quoted directly from interviews so that at
6 least one of the hallmarks of his comments
7 that is documented in a number of reports was
8 the day of six explosions in one day.

9 And so when you have six explosions in
10 one day, the likelihood that you have other
11 explosions, perhaps not as frequently as six
12 in one day, but certainly others on a routine
13 basis is something that you have to conclude.
14 And that is supported by other documents that
15 involve interviews with former workers. And I
16 believe has also accumulated some
17 additional information, and I'm not sure
18 whether he went into the library to get some
19 archived data that would support that notion.

20 So the likelihood of a large number of
21 these events is something that I don't
22 question at this point. Whether it's once a
23 week, once every two weeks, I don't know. And
24 it's possibly correct when you say that with
25 time things do get embellished, but even if

1 they were to occur once a month, I think that
2 that would still be a sufficiently frequent
3 event that would affect people with less than
4 250 day work employment.

5 **DR. MAKHIJANI:** The interview in your, in
6 the appendix, , his recollection from
7 the '50s is that was on the order of once a
8 week. My recollection from earlier, looking
9 at the earlier period when we first did the
10 Ames evaluation is in the early period
11 blowouts were possibly more frequent than
12 that.

13 **DR. ZIEMER:** Yeah, I would think that they
14 would have taken some steps to mitigate that
15 and so normally in a facility like this you
16 would expect, aside from regulatory things,
17 that people would take steps to mitigate that
18 kind of event.

19 **DR. MAKHIJANI:** Yeah, and that's why the six
20 in a day, I think, was during the Manhattan
21 Project or very close to it. Yeah, it was.

22 **DR. MELIUS:** Larry, then Gen.

23 **MR. ELLIOTT:** , I don't know if he's
24 on the line because he told us he had clinic
25 today, but he would try to visit us when he

1 could, sent yesterday or day before yesterday
2 some lab notebook pages that refer to just
3 what you mentioned there, Paul, that they were
4 trying to take steps to mitigate.

5 There are actually, I think there's
6 one reference there to putting a steel band
7 around the bomb device itself so that, you
8 know, it'd try to contain the contents even
9 further. But be that as it may, I couldn't
10 decipher from that set of notes in the lab
11 book how frequent these occurred.

12 **DR. BEHLING (by Telephone):** Yeah, you're
13 correct obviously in the sense where the early
14 period was an experimental period. The use of
15 wet lime was one of the major causes for these
16 explosions, and I'm sure that with time they
17 learned lessons that would reduce the number.
18 But the interview involves periods
19 of time that were towards the final end, so in
20 the early '50s. So if he still recalls once a
21 week, then it's possible that explosions
22 earlier, in the '40s, might have even been at
23 a higher frequency.

24 **DR. MELIUS:** Gen.

25 **DR. ROESSLER:** The interview that was

1 included in Arjun's report but didn't come
2 through as a PDF; he has just now sent it to
3 us, and I've gotten it. And I've glanced at
4 it. This was the 1961 interview, and I
5 haven't had a chance to look at it in detail.
6 But just looking at it and comparing it to the
7 interview, I think has at that time
8 much more recall of the details of what was
9 going on. So I think that's an important one
10 to look at. And again, I haven't had time to
11 look through it and myself evaluate the
12 frequency issue, but if you can get it, you
13 might want to look at it.

14 **DR. MELIUS:** Can I suggest as a way to go
15 forward, I think there, I think we've, well,
16 one is we need to, it would be helpful
17 recognizing though how the uncertainty
18 involved with it and the fact that we don't
19 have a sharp cutoff to deal from is to do some
20 sort of estimate. What's the potential
21 magnitude of these exposures from an incident?

22 And then the second issue is can we
23 pin down more what is the frequency of these
24 incidents. Again, probably the estimated
25 incidents, the nature of these events that

1 occur over time, starting with early on the
2 facility up and over the course of the SEC to
3 that. And I think we have some more newer
4 information that may help with that.

5 Again, albeit it's not going to be,
6 you know, we don't have complete incident
7 reports. It's going to be generally based
8 mostly on people's recollection. Would that
9 be helpful? Because I think if we have an
10 estimated magnitude, we can talk about that
11 issue. Do these qualify? Does an incident
12 qualify? And then so to speak, secondly,
13 would be over what time period does that
14 qualify and would that make sense based on
15 what we can, what little information we may
16 have on the incidents.

17 **DR. NETON:** Right, and that kind of almost
18 could bring you back to square one, which is
19 are these incidents reconstructable. If there
20 is enough background information on these
21 incidents and can put your hands around it,
22 then it may be that the people with less than
23 250 days have a recourse which is we have an
24 approach to reconstructing their doses.

25 Because all we said in the original

1 one was for routine exposures, these non-
2 incident exposures, the current exposures, we
3 can't reconstruct the dose because we didn't
4 have enough monitoring information. But if
5 it's identified, fairly definitively that
6 there were x number of incidents and no more,
7 and one developed a model, it comes to my mind
8 that these explosions happened fairly
9 routinely at many uranium facilities where we
10 have particularly robust monitoring data for
11 urine and such.

12 And my recollection remembers seeing
13 the types of levels of internal exposure from
14 these incidents that can be speculated based
15 on worst case scenarios. That doesn't mean it
16 necessarily follows that it applies directly
17 to Ames, but there may be some ways of looking
18 at that and coming to some conclusions.

19 **DR. ROESSLER:** I think one of the things
20 that we have to keep in mind as we look at
21 this 250 day topic we're talking about is
22 we're not talking about one facility. All
23 facilities are going to be different. But
24 what we have to do, I think, in fairness to
25 everybody is to set criteria that can be

1 followed as we look at other facilities. Now
2 we have to keep the whole world of facilities
3 in mind on this when we do it.

4 **DR. MAKHIJANI:** I'm a little bit confused by
5 what Jim just said, and you just said, which
6 is that if you can somehow put your arms
7 around the dose reconstruction for the
8 incidents, then the less than 250 days will no
9 longer be in the SEC. Now I thought --

10 **DR. NETON:** No, no, no, that's not what I
11 said.

12 **DR. MAKHIJANI:** That you could reconstruct
13 their doses and then they would not be
14 included in the class.

15 **DR. NETON:** They're not included currently.

16 **DR. MAKHIJANI:** They're not included
17 currently, and they would not be included
18 because you could reconstruct their doses.

19 **DR. NETON:** We would reconstruct whatever we
20 could for the less than 250 days. Right now
21 we say that we cannot reconstruct routine
22 exposures because that's what we identified as
23 the exposure pathway for these folks.

24 **MR. ELLIOTT:** If we can put a maximum bound
25 on these incident-type exposures, we could use

1 those in our partial dose reconstruction.

2 **DR. NETON:** Partial dose reconstruction.

3 **DR. MAKHIJANI:** So that's one clarification,
4 but I thought we were talking about health
5 endangerment which is separate from the dose
6 reconstruction piece.

7 **DR. NETON:** Health endangerment only applies
8 after you had agreed you can't reconstruct the
9 dose.

10 **DR. MELIUS:** Yeah, but Jim, if you can't
11 reconstruct part of the dose, then you don't
12 meet the accuracy, sufficient accuracy
13 criteria because the, again, the --

14 **DR. NETON:** Well, we need to talk about
15 that.

16 **DR. MELIUS:** Yeah, let's talk about it right
17 now because it's critical here because --

18 **DR. ZIEMER:** Well, no, if they can
19 reconstruct part of the dose and it's
20 sufficient for someone with less than 250 days
21 to show that they have a POC of 50 percent or
22 greater, then that's sufficient accuracy for
23 making a decision.

24 **DR. MELIUS:** Correct, but not sufficient
25 accuracy for someone that's potentially in the

1 SEC. If the increment of dose, and we went
2 through this at the first meeting we had was
3 this issue of if the incremented dose that you
4 can't reconstruct could put them over the 50
5 percent, then that, you know, I guess fails
6 the sufficient accuracy test in terms of full
7 dose reconstruction for the class.

8 **DR. NETON:** I don't know about that. We'd
9 have to, I have to think about that because
10 really what we're talking about here is
11 adding, essentially adding a class of workers
12 based on exposures to incidents, discrete
13 incidents. And we're trying to apply that
14 litmus test based on our regulation. Now
15 these discrete incidents as Hans has talked
16 about, they're there. They're out there. Now
17 we're saying do we know enough about these
18 incidents to say that we could do them or we
19 can't. And we could do them if someone wants
20 to propose a class that has --

21 **DR. ZIEMER:** If you knew the frequency then
22 it would be much less of an issue. My guess
23 is this frequency issue is not going to be
24 solvable. We're not going to know that very
25 well.

1 **DR. NETON:** I don't want to prejudge that.
2 All I'm saying is that we have added
3 originally a class at Ames based on 250 days
4 which is the default criteria because our
5 evaluation did not identify any discrete
6 incidents that would result in exceptionally
7 high levels of exposure equivalent to
8 criticality. That's all we said.

9 So now we're evaluating is there a
10 discrete incident out there that would create
11 another class which would be eligible for SEC
12 based on less than 250 day exposure. And in
13 fact, essentially if we say it's a discrete
14 incident, anyone with any presence at that
15 incident, one minute, would become eligible in
16 that class. But I think that would need to be
17 evaluated in the context of can you do these,
18 can you do a dose reconstruction.

19 **DR. MELIUS:** But we define the original
20 class base that we couldn't reconstruct their
21 quote/unquote routine exposure. So there's
22 still, we still cannot reconstruct an
23 individual's complete dose with sufficient
24 accuracy, and they pass that test.

25 **DR. NETON:** The 250 day requirement applies.

1 **DR. MELIUS:** Two hundred and fifty day, but
2 then the question is do they, I mean, it
3 doesn't branch, I mean, the branching is,
4 originally it's sufficient accuracy. And then
5 if not sufficient accuracy, then the question
6 is, is it 250 days or is it the discrete
7 incident, you know, was less than 250 days.

8 **DR. NETON:** That's what I'm saying. If we
9 can identify discrete incidents that are less
10 than 250 days that result in exceptionally
11 high levels of exposure, then there's a case
12 to be made that they would be added.

13 **DR. MAKHIJANI:** Well, let me ask maybe a
14 simpler question because I'm getting a little
15 bit confused about the statements that you've
16 just made. Are we talking about generating a
17 whole new class of people? You've looked at
18 Ames, and you've decided that you could not
19 reconstruct internal dose. I mean, I don't
20 know exactly what, just opened the petition
21 evaluation report to see exactly what it says.
22 But I don't believe you ever made the claim
23 that you can construct some piece of the
24 internal dose, in the evaluation report.

25 **MR. RUTHERFORD:** I think we said uranium.

1 **DR. MAKHIJANI:** You said you could not
2 reconstruct thorium dose. I don't believe you
3 made any claim that you could reconstruct
4 thorium incident dose but nothing else, but
5 not the routine dose.

6 **MR. RUTHERFORD:** No.

7 **DR. MAKHIJANI:** I think it generally covered
8 some piece of the internal dose.

9 **MR. RUTHERFORD:** That's correct.

10 **DR. MAKHIJANI:** Thank you for jogging my
11 memory. Let me just ask the question because
12 I truly am a little bit at sea now as to what
13 just happened. What I thought we were talking
14 about is the same category of workers who are
15 only differentiated from the rest of the
16 workers by the fact that they had less than
17 250 days. So we're past the stage of whether
18 we can reconstruct doses for this group of
19 workers or not and talking about whether their
20 health was in danger.

21 Now if that's not the case, and we're
22 talking about a whole new SEC petition then
23 I'm confused about that.

24 **DR. NETON:** No, it's important to point out,
25 Bomber pointed it out in reminding you that if

1 we said that we can reconstruct uranium doses
2 for these workers, then this whole discussion
3 does evolve, particularly in the area of the
4 bombs for the uranium that Hans has just
5 provided a write up, evolves on can we
6 reconstruct those incident doses or not and
7 whether they, you know, if we can, then the
8 250-day issue is --

9 **DR. BEHLING (by Telephone):** Well, let me
10 add one comment to that. Even if, let's
11 assume, we take a single event and reconstruct
12 doses and even bound that dose, the second
13 question that you have to answer is how many
14 events would a person with let's say even two
15 months of employment would have experienced.

16 And that's a question you cannot
17 answer because unless you have the full
18 documentation about the incidents and when
19 they occurred, you can't, you can bound maybe
20 one incident, but you can't identify the total
21 number of incidents per unit time that a
22 person might have been exposed to, and
23 therefore, you're still in a situation where
24 you can't answer the question about the dose
25 for persons less than 250 days of employment.

1 **DR. NETON:** I don't know that, Hans. I
2 mean, that would have to be evaluated, but
3 you've got some statements from some workers
4 talking about frequencies and such. I mean,
5 you were very positive about some numbers at
6 one point I thought.

7 **DR. MAKHIJANI:** Well, the order of magnitude
8 ideas.

9 **DR. NETON:** Yeah, but can that be a bounding
10 analysis? I mean, that's --

11 **DR. MAKHIJANI:** How can it be? If somebody
12 recollected -- I'm really confused by the
13 drift of the discussion. I need some clarity
14 here. If you've got somebody recollecting
15 that it was about once a week and in the '50s,
16 and then others saying maybe it was more
17 frequent in the '40s, there's a lot of element
18 of recollection and uncertainty and
19 speculation in that generality. And then how
20 you would possibly go from that to an
21 individual, even in principle, let alone doing
22 more interviews and so on, is puzzling me a
23 great deal, and still meet the criterion of 42
24 CFR 82 which says that under no circumstances
25 will an individual be harmed by any level of

1 uncertainty.

2 **DR. NETON:** I'm not sure if it says exactly
3 that.

4 **DR. MAKHIJANI:** I think it says exactly
5 that.

6 **DR. NETON:** I think you're paraphrasing very
7 loosely, Arjun.

8 **DR. MAKHIJANI:** Well, no, I am not. I will
9 read it to you. Let me pull it up.

10 **MR. RUTHERFORD:** I would like to add
11 something on the class.

12 **DR. NETON:** I think it says something about
13 providing reasonable dose reconstruction.

14 **DR. MAKHIJANI:** Let's pull it up.

15 **MR. RUTHERFORD:** Dr. Melius, can I add
16 something?

17 **DR. NETON:** Yes.

18 **MR. RUTHERFORD:** I think what's important on
19 the class is recognizing where the blowouts
20 occurred. If, you know, we've identified
21 virtually all of Ames where they were
22 potentially exposed to radioactive material.
23 The class was defined because a routine or
24 potential exposure thorium internal exposures
25 from the bombs in a blowout standpoint we can

1 clearly identify which buildings where bombs
2 or blowouts would have occurred, and
3 therefore, that's a completely different class
4 definition.

5 **DR. MAKHIJANI:** Could I just read this for
6 the record?

7 **DR. MELIUS:** Yeah, that has potential. And
8 actually, when you said if the, only exposures
9 from the incidents were things of a nature
10 that you can reconstruct, I mean, so
11 hypothetically then that would be different.
12 I agree with you there. I think the question
13 then comes down is the nature of the
14 information, does it allow you to reconstruct
15 and some of that stuff.

16 **DR. NETON:** I'm not saying that we can't.
17 Don't get me wrong. I'm not saying that we
18 can do that. I'm just saying that you have to
19 follow the steps in the regulation which have
20 a very prescribed process.

21 **DR. MAKHIJANI:** Could we settle what's in
22 the regulation? Let me just read from it.

23 Forty-two CFR 82: "Claimants will in
24 no case be harmed by any level of uncertainty
25 involved in their claims, comma, since

1 assumptions applied by NIOSH will consistently
2 give the benefit of the doubt to claimants,
3 period. Hence, comma, the level of
4 uncertainty is not an issue whenever there is
5 a sufficient factual basis to establish the
6 radiation source type and quantity and a basic
7 understanding of the process in which the
8 employee worked, period."

9 So the --

10 **DR. NETON:** That's the preamble, not the
11 regulation. That's not part of the
12 regulation.

13 **DR. MAKHIJANI:** This is the promise to the
14 claimants that you've made in your final rule,
15 that claimants in no case will be harmed by
16 any level of uncertainty. And this is the
17 commitment, I mean, so in that case I think I
18 need to be informed about what is the meaning
19 of the commitment that you make to the
20 claimants, in the ruling you say that they
21 will not be harmed. We've been trying to
22 interpret it by saying that there's a
23 probabilistic interpretation of the statement.

24 **DR. NETON:** Well, what's the question about
25 harming the claimant now?

1 **DR. MAKHIJANI:** Well, if you cannot, how can
2 you translate a recollection of 50 years ago?
3 We can develop a general idea that blowouts
4 were very frequent. They may have been daily
5 or weekly or monthly, but how could you
6 translate that kind of information to an
7 individual dose reconstruction in this
8 context?

9 **DR. NETON:** Arjun, I said we would have to
10 evaluate that. I didn't say that we could or
11 we couldn't. I said that that's the first
12 step in the evaluation is, can you? If you
13 cannot, then you go to the next test which is
14 were these exceptionally high levels of
15 exposure. There's a couple little, you know,
16 there's a pathway that needs to be followed.

17 **DR. MAKHIJANI:** I'm just looking for clarity
18 on the confusion.

19 **DR. MELIUS:** I think to move on with this I
20 think we're back to those two points. We need
21 to estimate the magnitude which we talked
22 about, and we need to gather more information
23 on frequency. So if SC&A can work on both,
24 and then, Jim, if you can bring to our next
25 meeting your table of whatever you've done. I

1 don't want to, I can't exactly recall what you
2 --

3 **DR. NETON:** Oh, the 50-year dose?

4 **DR. MELIUS:** Yeah, yeah, you said you had
5 some of your own calculations. Maybe we can
6 just bring that so we can discuss that. And I
7 think that, and I guess my next question is
8 there other information that would be helpful
9 to further the discussion on this?

10 **DR. ZIEMER:** I just want to get a feel for
11 this. Are we saying that we'll take either a
12 fire or blowout incident source term
13 information? Do we have reasonable source
14 term information?

15 **DR. NETON:** The charge in the --

16 **DR. ZIEMER:** And let's suppose that SC&A and
17 NIOSH agree on what some reasonable parameters
18 are, and we make user friendly, some claimant
19 friendly assumptions about percent airborne
20 and the particle sizes and related parameters
21 and come up with some number. And at that
22 point then we'll have to do something with it.

23 Suppose that number is that everybody
24 agrees that in a blowout nobody could have
25 gotten more than let's say 100 millirem or

1 maybe it's 100 rem. I'm just taking some
2 extremes. It probably won't be that clear
3 cut, but if everybody agreed that it was a
4 small number, then where are we on this? Then
5 you would have to say you've got to be present
6 at x number of these or if it's a big number
7 maybe one will do it. But at some point the
8 only thing that's going to tell us is how
9 important is an event. Or is it an event?

10 **DR. MELIUS:** How potentially important is an
11 event.

12 **DR. ZIEMER:** And maybe we can't do it.
13 Maybe we can't do it.

14 **DR. BEHLING (by Telephone):** I want to make
15 a comment here because if there are any real
16 data involving blowouts at other facilities,
17 you have to be very cautious here. One of the
18 things that we've learned when we read the
19 documents, especially that of Dr. Payne in a
20 thesis, is that these buildings were never
21 intended to be used for this kind of process.
22 So that if you look at Fernald and other
23 places where these blowouts may have occurred,
24 these facilities, other facilities, were
25 probably designed to deal with that in terms

1 of ventilation and other factors.

2 This was an old chicken coop or
3 whatever it was that started out. And when
4 they started the actual process itself, they
5 went down to the local hardware store and
6 bought huge ventilators in order to keep the
7 workers, the production workers, cool. So
8 that we're dealing with a very unique beast
9 here in terms of trying to understand what
10 potential airborne exposures were because they
11 were probably amplified, especially in the
12 early years, by the poor construction and
13 engineering design of the buildings.

14 **DR. ZIEMER:** Well, and that's fine. Let's
15 take that into consideration. I'm just, even
16 if we ultimately can't use it, it seems to me
17 that it makes more sense to at least have
18 looked at some scenarios rather than say,
19 well, just intuitively the number is high or
20 the number is low.

21 **MR. RUTHERFORD:** Quick question here,
22 question, thought, whatever. But doesn't it
23 ultimately come down to what dose per unit
24 time we're going to agree to the critical
25 organ is equivalent to the criticality.

1 **DR. ZIEMER:** What's hot.

2 **MR. RUTHERFORD:** And if you know that, if
3 you can agree to that, then you could back
4 calculate the intake if you could agree to
5 what that dose is. And then you could say,
6 okay, is it plausible? Is it feasible?

7 **DR. MELIUS:** But if we had agreed to that,
8 we would have had a different regulation, and
9 so that's why we're --

10 **MR. RUTHERFORD:** I'm just throwing that out.

11 **DR. MELIUS:** Yeah, we're working from, it's
12 a nice thought, but, okay. And I think the
13 second part of it is the frequency over time
14 and location of the incidents which would help
15 us to define a potential class.

16 **DR. NETON:** I totally agree that fleshing
17 out this blowout is a good start because
18 otherwise we're talking from generalities. I
19 don't know where it's going to come in, and of
20 course, we should include all the
21 uncertainties. I agree with the uncertainty
22 issue there that Arjun has raised. We need to
23 be cognizant of that and what could it have
24 been, given our lack of knowledge of the
25 process.

1 But I also know that we have done a
2 lot of uranium analyses in this project so
3 far, and there are certain dust loading
4 factors that one, I think even SC&A and NIOSH
5 would agree, one probably wouldn't exceed and
6 be able to survive the environment. And some
7 of those assumptions could come into play and
8 the durations that might have occurred and
9 knowledge of settling of uranium material is
10 blown into the air. There's some factors that
11 can be used to bound these things I think
12 fairly well. We'll see how it comes out.

13 **DR. MELIUS:** Any other words on Iowa?

14 **DR. WADE:** Who's doing it?

15 **DR. MELIUS:** SC&A will, yeah, I think it may
16 be helpful if there were some sort of
17 technical call between Jim and Arjun and Hans
18 to sort of work out the parameters so we all
19 agree when we come into the next meeting.

20 **DR. MAKHIJANI:** Just for clarity I made some
21 notes, but let me read out the notes about the
22 to-do list so we have some agreement.

23 **DR. ZIEMER:** Maybe some of us could listen
24 in on that call, too.

25 **DR. MAKHIJANI:** Yeah, fine, yes.

1 There's the question of the number,
2 the frequency of incidents so that's one issue
3 to research. And then there's the question of
4 having some kind of dose reconstruction model
5 for one incident, taking into account the kind
6 of circumstances that Hans has pointed out.
7 And what Jim just said in terms of our prior
8 agreements about maximum breathable
9 environment for a routine.

10 **DR. ZIEMER:** Is there a blowout and a fire?

11 **DR. MAKHIJANI:** Well, there were fires and
12 blowouts.

13 Hans?

14 **DR. BEHLING (by Telephone):** Yeah, one of
15 the things that I would recommend is to
16 perhaps look at Figure 1 on page three of the
17 most recent report. That gives you a flow
18 plan of Little Ankeny and realize just how
19 small these facilities were and the proximity
20 to not just the workers who may have been
21 directly involved, but also all workers within
22 that building. It's a relatively small
23 building and one could make use of that as the
24 starting point for modeling such an exposure.

25 **DR. MAKHIJANI:** There's no scale here, Hans.

1 **DR. BEHLING (by Telephone):** Well, I think
2 we can probably get to that scale by looking
3 at some of the photographs of Little Ankeny.

4 **DR. NETON:** Yes, we have that.

5 **DR. MELIUS:** Gen.

6 **DR. ROESSLER:** Is it clear which buildings
7 were used for uranium and which were used for
8 thorium?

9 **DR. MELIUS:** Yeah, so I think it's frequency
10 over time and place and nature of the
11 different incidents.

12 **DR. NETON:** My recollection was that we did
13 have urine data --

14 **MR. RUTHERFORD:** We have uranium urine data.

15 **DR. NETON:** Uranium urine data for these
16 workers. I don't think we --

17 **DR. MAKHIJANI:** Some.

18 **DR. NETON:** There's some.

19 **MR. RUTHERFORD:** It's actually a detailed
20 study that was done, whether it's accurate or
21 not --

22 **DR. NETON:** It may or may not be useful to
23 incorporate into the analysis because that
24 certainly provides some bounding, potentially
25 bounding, scenarios. My recollection was that

1 we did that at Mallinckrodt. We had a fair
2 amount of urine data in the later period, and
3 the incidence of the explosions just didn't
4 seem to come to the level of body burden that
5 one would, one could speculate on a worst case
6 scenario.

7 **DR. WADE:** One last thought, if there are
8 technical calls, I would suggest that we
9 invite .

10 **DR. MELIUS:** And I was actually going to say
11 for next work group meeting we should try to
12 schedule so that we know that he and any of
13 the other claimant representatives might be
14 available.

15 **DR. MAKHIJANI:** Yes, it really would have
16 been useful to have him on this.

17 **DR. MELIUS:** Good.

18 Yes.

19 **MS. HOWELL:** Can I just interject a friendly
20 reminder here? The working group has before
21 it documents that have not been fully redacted
22 for Privacy Act purposes and as such they may
23 include some names of protected individuals.
24 So please just remember that when you're
25 speaking on the record and try to limit

1 yourself in the names that you say since this
2 is a public meeting.

3 **DR. ZIEMER:** Emily, which, are there
4 particular documents that --

5 **MS. HOWELL:** The Ames report and the NTS
6 report.

7 **DR. MELIUS:** The last two reports.

8 **DR. BEHLING (by Telephone):** Can I ask a
9 question regarding that? For instance, the
10 appendix that I took as part of this where I
11 crossed out the name was, in fact, a document
12 that is available. It's in the public domain.
13 Nevertheless, I did cross out the names. Now
14 are other names like part of that
15 Privacy Act? I mean, his name is everywhere
16 so --

17 **MS. HOMOKI-TITUS (by Telephone):** This is
18 Liz. I'm sorry. We are not going to have
19 this discussion on the record because we're
20 not going to sit here and say names that are
21 Privacy Act protected, on the record. We'll
22 be happy to have this discussion with you
23 offline. There are names in there that have
24 to be protected. The names that you removed
25 didn't necessarily need to be removed, but

1 there were other names that did need to be
2 removed. So if you want to have this
3 discussion, we can have it offline, and we can
4 explain to you what names need to be
5 protected.

6 **DR. BEHLING (by Telephone):** All right, I
7 certainly --

8 **DR. ZIEMER:** Is this document under review
9 now by counsel?

10 **MS. HOWELL:** Right, but we're having some
11 timing issues with having enough time to
12 actually perform reviews prior to meetings.

13 **DR. ZIEMER:** Thank you.

14 **DR. MELIUS:** Do you people need a break or
15 should we just move on to Nevada? Ray needs a
16 break. Let's take a five-minute break.

17 (Whereupon, a break was taken at 11:06 a.m.
18 and the meeting resumed at 11:17 a.m.)

19 **DR. WADE:** Board members on the line?
20 (no response)

21 **DR. WADE:** Mark, are you back?

22 **MR. PRESLEY (by Telephone):** I'm back. This
23 is Bob Presley. I'm back.

24 **DR. WADE:** Bob and Mark?

25 **MR. GRIFFON (by Telephone):** Yes, I'm back,

1 Lew.

2 **DR. WADE:** Any other Board members on the
3 line?

4 **MR. SCHOFIELD (by Telephone):** Phillip
5 Schofield, I'm back.

6 **DR. WADE:** You're not technically a Board
7 member now.

8 **MR. SCHOFIELD (by Telephone):** No, not
9 technically.

10 **DR. WADE:** So you don't count against a
11 quorum. So welcome, please stay and enjoy.

12 Any other Board members?

13 (no response)

14 **DR. WADE:** Okay, we're back on the record.

15 **MR. ELLIOTT:** Has joined us yet?

16 (no response)

17 **DR. MELIUS:** Then let's move on to the
18 Nevada report.

19 **NTS REPORT**

20 Arjun, do you want to give a brief
21 summary?

22 **DR. MAKHIJANI:** Sure. I just want to read
23 section, in the first section of the Nevada
24 report I just compiled a sort of brain
25 storming session from the last working group

1 meeting that we had as to what might
2 constitute exceptionally high doses in a set
3 of bullet points. I wrote out some of them
4 earlier this morning.

5 And one of the things that we
6 discussed at SC&A in preparing this report is
7 that I think we need to recognize that Nevada
8 Test Site and Pacific Proving Grounds, the
9 test sites are very different than
10 manufacturing facilities because the
11 atmospheric testing programs and the vents of
12 the underground tests are by their nature
13 situations where nuclear materials are not
14 confined unlike manufacturing facilities where
15 you're trying to confine the material, keep it
16 out of the environment. By the nature of the
17 operations they're unconfined material.

18 So it seemed in some circumstances
19 actually quite difficult to distinguish
20 incidents from work-related exposures. And
21 the tritium exposures and the re-entry workers
22 in the '58 to '61 period for the tunnel re-
23 entry workers kind of provides some
24 illustration of that that I'll talk about a
25 little bit later.

1 There is a definition of an incident
2 from Operation NOUGAT that we discussed at the
3 last meeting that I put it into the report
4 just for convenience here, an accidental or
5 unexpected type of overexposure, and not
6 situations where minor exposures occurred, so
7 excludes minor exposures.

8 The second section of the report just
9 goes over some data. We did go over the data
10 that Jim Neton compiled and put on the O
11 drive. Didn't have a chance to go over it
12 much, but I had forgotten that it was there.
13 Sorry about that. Also a little bit buried by
14 Rocky Flats.

15 We looked at incident reports. We
16 looked at some of the claimant data, and we
17 also looked at the question of incidents from
18 the general D and A type of reports that were
19 available and reorganized those from the last
20 set of data that were presented to you to be
21 more useful following on the discussion. And
22 there are four tables as attachments to this
23 report with certain items highlighted that may
24 be relevant because of the involvement of
25 civilian employees.

1 **DR. ZIEMER:** Excuse me, are we still looking
2 at the November report or has there been --

3 **DR. MAKHIJANI:** No, this is a new report
4 that you should have received yesterday
5 morning. Should I send it to you?

6 **DR. ROESSLER:** Paul, I've got it written and
7 on my disk. Do you want this --

8 **DR. ZIEMER:** Do you have it on your disk?

9 **DR. ROESSLER:** I have it on my disk.

10 **DR. ZIEMER:** Can you put it on a flash drive
11 for me, and I'll just transfer it.

12 Sorry to interrupt.

13 **DR. MAKHIJANI:** I looked at the spreadsheets
14 that Jim Neton compiled for the '61 claimants
15 who are not, who don't meet the 250 day
16 criterion. Actually, I had a question about
17 one of them, whether they do or not, but aside
18 from that 26 cases seem to have complete
19 external dose data, and 21 cases did not have
20 complete external dose data. They may have
21 had some. Many had some. And 14 cases seemed
22 likely to have complete data. And I think I
23 agree with Jim's compilation in that. They
24 were missing maybe the last day or the last
25 piece of it, not a significant incompleteness

1 there.

2 But so about a third of the claimant
3 population has some, more than a small gap in
4 external dose data. And the question arises
5 how we're going to deal with external dose
6 data gaps in terms of incidents and then
7 external doses in some kind of indicator, at
8 least qualitative during incidents for
9 internal dose even though you can't put a
10 number on it. Then how do we deal with the
11 problem of incomplete external dose records?

12 There are no internal dose records
13 until 1955. And to the best of my knowledge,
14 and I stand to be corrected because we haven't
15 done all of the research. From what I could
16 gather looking at the reports, it seemed that
17 until REECO took over bioassay monitoring in
18 1958 that the 1955-1958 interval has a very
19 small amount of urinalysis data. Most of it
20 seemed like nasal swabs.

21 Is that your finding also? Mine is
22 very preliminary.

23 **DR. NETON:** I'm not as on top of this as I
24 should be either, but I think you're correct.

25 **DR. MAKHIJANI:** Because in Operation

1 Plumbbob, and I've given the data from that
2 which was in '57, the nasal swabs were in the
3 thousands, and the number of urinalysis kits
4 that were handed out were in the dozens. And
5 so, and there were a very large number of
6 personnel involved. So I think that really
7 for practical purposes it doesn't seem that
8 there are internal dose data available for
9 most people who were on the site until '58.

10 **DR. NETON:** I would agree.

11 **DR. MAKHIJANI:** Would you agree with that?
12 And after '58 that there are data on tritium,
13 and there are data on plutonium, and in '61
14 data on gross fission products were added.
15 Now the site profile says that in '61 when
16 high gross fission product was detected above
17 the control limit, that they did further
18 analyses.

19 I looked at the records of tunnel re-
20 entry workers where, that were associated with
21 some of the high tritium exposures from
22 incidents, accidental exposures where people
23 did not know that there was a lot of tritium.
24 I could not find data, and I've only done a
25 preliminary screening of the documents and

1 there's a lot of paper out there.

2 I could not find follow-on analyses in
3 the case of workers who had more than the
4 control amount of gross fission products in
5 urine. I found plutonium data. I found
6 tritium data. I found gross fission product
7 data, but I didn't find like other volatiles,
8 Iodine-131 or any other isotope-specific
9 photon or beta emitter data following on that.
10 There is gross fission product data in 1951.

11 We gave examples of some incidents
12 just to give a flavor for what's out there
13 following on the direction that we got at the
14 last working group meeting, and there are few
15 examples. This doesn't cover the universe,
16 but there are some examples. We didn't go
17 farther because I didn't, I really wanted
18 direction from the working group so as not to
19 spend resources in a direction that the
20 working group would not find useful.

21 There was an exposure, high exposure,
22 during Operation Teapot, rather there was a
23 failure of radiological controls and an
24 incident during the Tesla test where one
25 individual went to ground zero and got a very

1 high dose. We don't know whether this
2 individual is a claimant or not. In fact, I
3 don't know who this individual was. The type
4 of work could have resulted in resuspension.
5 There was a claimant with a type of work that
6 could have resulted in resuspension, but I did
7 not write the type of work down so as not to
8 involve Privacy issues, that also had some
9 significant, higher than usual, external dose
10 recorded.

11 The second example was an incident
12 during the 1953 Upshot-Knothole series of
13 tests during the Badger shot. There was,
14 workers were allowed to enter areas that had
15 greater than ten rads per hour to retrieve
16 their instruments.

17 And according to the Defense Nuclear
18 Agency report, an unknown number of
19 overexposures resulted from the
20 misunderstanding of who was to go where, and
21 people entering high radiation areas when they
22 weren't supposed to because of this
23 misunderstanding. Of course, '53, there are
24 no internal dose data so we don't know what
25 the associated intakes might have been.

1 Then there was an unplanned
2 criticality incident as a third example during
3 Project 56. I believe this must have been
4 during the test in January because it was the
5 last in a series of four tests in that
6 project. The external doses from that test
7 are known and recorded, and they're cited.
8 They range from 4.3 to 28 rad. And the dose
9 rates were quite high, 20 to 30 rads per hour.

10 And there are some bioassay data for
11 personnel from Project 56. And we did some
12 dose calculations of committed dose. And
13 we're using committed doses just as an
14 indication, not to say that this is the way
15 dose reconstruction was done, just as a
16 screening indication of whether things might
17 be high or low or worth looking at in more
18 detail. And in this case the plutonium
19 related committed doses to the bone surface,
20 and even the effective dose, are in the tens
21 or hundreds of rem. So that's the third
22 example.

23 The fourth example relates to the
24 tritium exposures that were unintended. And
25 these occurred over a series of years,

1 starting in 1958 for tunnel re-entry workers.
2 And there were also exposures in 1959 when
3 workers went back into the tunnels even though
4 there was no testing in 1959. The tritium
5 appears to have persisted for quite awhile in
6 the crevices and cracks and be out-gassing.

7 And then there were more exposures in
8 1961. And there's some discussion of, I
9 believe they had one case at least, the
10 exposure was on the order of ten rem from the
11 tritium in 1959. There's quite a bit of
12 bioassay data. The detection limit I think
13 went down from five microcuries to two
14 microcuries per liter between '58 and '61, at
15 least as I read the information, for the five
16 microcuries from the site profile. But in '61
17 data the detection limit was two microcuries.

18 Well, there were many samples in the
19 hundred to 200 microcuries per liter range but
20 most were below 100 microcuries per liter and
21 many were below the detection limit. I think
22 in the earlier years where we don't, there is
23 gross fission product data in 1961, but I
24 don't know how one could extrapolate from that
25 into earlier years because the conditions for

1 each of these entries seem to be very, very
2 specific.

3 And the testimony that was presented
4 to the Board by is cited, and I have
5 checked that I can say this because it was
6 presented to the Board in open session. And
7 she's actually given me permission to use her
8 records, but I've asked her for some
9 clarification on that permission, and I will
10 send it in to NIOSH and CDC when I get that.

11 But she had said in the context of her
12 testimony about to the Board that they
13 were asked -- in the context of this tunnel
14 re-entry and the accidental exposures -- that
15 there were workers with high exposures
16 including , were asked to throw away or
17 lose their badges, and that the recordkeeping
18 people had asked for lost badge forms or cards
19 so they could enter a lost badge and issue a
20 new one. I did verify two cases of that from
21 1962 from log books. And those log books are
22 quoted in the report on page 11.

23 So they seem to be, it's not definite
24 corroboration, but I think it's indicative
25 corroboration together with what Jay Brady had

1 said, previously presented by SC&A in the site
2 profile review, about people not wearing
3 badges because they were afraid of losing
4 their jobs or losing work in forward areas.
5 So this has come up because of
6 testimony in the context of incidents because
7 of the tunnel re-entry incidents and exposures
8 to tritium and obviously to, in come cases, to
9 fission products. So that's the fourth
10 example.

11 As a memo item from the tables there
12 are lots of cases of high radiation rates but
13 not documented who was there, whether anybody
14 was there in some cases. In some cases the
15 high exposure rates are associated with, very
16 high exposure rates are associated with
17 aircraft-type of surveys and people in
18 helicopters over ground zero and so on. We
19 don't have, we have not compiled any exposure
20 data on those and don't know what the internal
21 exposure situation might be. Obviously,
22 there'd be some potential with the helicopters
23 landing and taking off but not much if they
24 were over-flying ground zero unless they were
25 going through a cloud of course.

1 So that's sort of the survey we've
2 done. I tried to list some policy and
3 technical issues that arise out of these
4 surveys in the sections. And the policy
5 issues that arise out of this compilation of
6 incidents, at least as we saw them, were one
7 of the policy issues that seemed to arise is
8 are we going to look at claimants only or are
9 we going to look at the universe of people
10 with less than 250 days.

11 We do agree that it's useful and very
12 important to look at the claimant data. But
13 as I've read the rule, it applies to the class
14 of people who worked there and not, so
15 potentially who could apply and may not have
16 applied for a variety of reasons including the
17 fact that they may now be sick with cancer,
18 but they may apply in the future.

19 So that's sort of a policy
20 clarification that's needed because in
21 deciding what's representative for members of
22 a class I don't know of any way that we've
23 devised yet to relate how the claimant
24 population is representative of the people who
25 worked there. So that's kind of an issue.

1 Then we recognize that we need, the
2 rule requires demonstration of exceptionally
3 high exposures and mentions criticality
4 accidents. And then in 83.9 the rule also
5 mentions depressed white blood cell count
6 associated with radiation exposure. But this
7 is, when there's an SEC petition application
8 being made on the basis of an incident -- at
9 least as I read the rule, correct me if I'm
10 wrong -- and how the requirement for an
11 application based on an incident is to be
12 related to a context where you already granted
13 a class based on more than 250 days and are
14 now debating less than 250 days, this was a
15 question, at least, that arose in my mind.

16 And I'm not clear on how that is to be
17 done because 83.13, all it requires is that an
18 incident happened. And presence during the
19 incident doesn't require establishment of
20 potential, establishment of an actual value
21 for the dose other than the criteria for
22 exceptionally high exposures. So that seemed
23 to be a policy problem.

24 Then, as I mentioned, the integrity of
25 data in the context of the 250-day issue. And

1 then the final policy question is does the
2 individual have to demonstrate presence or is
3 the presence of one or more of a group of
4 employees, like tunnel re-entry workers or
5 something like that, enough. Now that isn't
6 quite clear to me because, anyway, it's a
7 question that arose out of, say, the
8 examination of, specifically really of the
9 tunnel re-entry workers.

10 Then the technical issues, there were
11 three technical issues that got highlighted.
12 One --I've mentioned them in passing I think.
13 One is that there are no internal dose data at
14 all up to sometime in 1955. And very little,
15 as I read it in a preliminary way, until about
16 1958.

17 Then there are some missing external
18 dose data as an indicator of internal dose for
19 some of the, for about a third of the
20 claimants. So how one might go about, say,
21 using DTRA-type approaches presuming that they
22 would be suitable to be used in this context
23 is sort of unclear to us. And so we haven't
24 yet gone there in any significant way.

25 And then the records of incidents and

1 high dose rates and DoD reports don't often
2 provide detail about who all was, were there.
3 And sometimes you actually do see Los Alamos,
4 Sandia and so on in the DNA reports but not
5 always. So the question about how you add
6 small groups of people to the class or whether
7 you're going to be broader in approaching the
8 question, that seemed to arise as a technical
9 problem.

10 That's my little survey.

11 **DR. MELIUS:** Thank you.

12 Questions? I realize everyone had
13 limited time to review this.

14 **DR. MAKHIJANI:** Yeah, I'm really sorry about
15 that.

16 **DR. MELIUS:** I'll start off because I've
17 been sort of wrestling with how do we deal
18 with this site, and I guess, again, I'm sort
19 of convinced at least there's potentially some
20 claimants that should be in the SEC class who
21 had less than 250 days there. I think to me
22 the question is what's the best way of going
23 about and trying to identify or, I guess we
24 talked about it earlier, come up with a class
25 definition for them.

1 Or is the alternative, which I guess I
2 had thought about, was, yeah, well, do you
3 just do these as a series of 83.14s. I mean,
4 is it going to come down to just being able to
5 look at the individual record and whether it's
6 going to be possible to deal with, you know,
7 as those come along that you evaluate an
8 individual and that individual then may define
9 another group of workers that, where it's not
10 going to be possible to reconstruct their
11 doses and they would fit under these criteria.

12 Because I guess what I'm concerned
13 about is there going to be any sort of
14 systematic way and efficient way of going
15 through all these different incidents and
16 defining classes of individuals that, you
17 know, first criteria for what would be an
18 incident that would qualify. And secondly, a
19 class of individuals from those incidents
20 given how sketchy at least the information
21 available so far is. Or is there another
22 source of data?

23 The only other source of readily
24 available data that hasn't been looked at is
25 the condition of those claimants that are less

1 than 250 days. There are a whole bunch of
2 other claimants that NIOSH, at least, has some
3 information on though how rigorous your dose
4 reconstruction was on those I'm not sure
5 simply because you weren't really pressed to
6 do that necessarily on these because of the
7 greater than 250 day class.

8 So it's a real struggle to sort of
9 come up, what is a workable way of dealing
10 with this group?

11 **DR. NETON:** It's a good question. I can
12 answer one policy clarification that Arjun
13 threw out there. I think the answer is pretty
14 easy. His question was do we rely solely on
15 claimant data or not to evaluate these
16 classes. And clearly the answer is no.

17 I mean, claimant data is a very useful
18 tool. It gives us some general idea about
19 what's out there. But you're absolutely
20 right. The entire evaluation needs to look at
21 the workers who were onsite whether they are
22 claimants or not.

23 When it comes down to the, you know,
24 Arjun has pointed out a number of little
25 incidents that pop around all over the site,

1 but my take on this so far, and I haven't
2 studied this in detail. But going through it
3 the best I could, I still haven't seen
4 anything in here that puts people in these
5 exceptionally high exposure scenarios.

6 Any of these incidents so far I
7 haven't seen anything that puts them into a
8 criticality event. Again, we get back to the
9 question of how high is high, but, you know,
10 three rem, four rem here and there are
11 mentioned. There's some exposure scenarios
12 which were the 39 rem which I couldn't tell
13 whether that was a measured dose rate --

14 **DR. MAKHIJANI:** It was a measured dose, I
15 believe, or an estimated dose for that person.

16 **DR. NETON:** But given all these scenarios
17 hard to identify, I don't see any that in my
18 mind immediately strike out as passing that
19 litmus test.

20 **DR. MELIUS:** But would you agree with me
21 there's the potentials there from this site?
22 I guess what I'm struggling with is how do you
23 go about, how are we going to go about
24 evaluating that other than incident by
25 incident, and it may be case by case. Claim

1 by claim I guess is --

2 **DR. NETON:** Well, it's appealing when you
3 mention that we could handle these on a case
4 by case basis. But then one would wonder can
5 we even to do that? I don't know, so it's a
6 problem.

7 **DR. MELIUS:** Larry, while you were, I think
8 you were out of the room, I mentioned that one
9 of the options was do we approach this
10 individual by individual as a series of 83.14
11 petitions? Do you evaluate an individual
12 claim, and then that individual claim may have
13 been somehow defined, you know, you can't
14 reconstruct that. Then that individual claim
15 then defines another group of workers so it's
16 all tunnel re-entry workers at a certain
17 incident.

18 **MR. PRESLEY (by Telephone):** Jim?

19 **DR. MELIUS:** Yes.

20 **MR. PRESLEY (by Telephone):** Bob Presley,
21 can I speak?

22 **DR. MELIUS:** You sure can.

23 **MR. PRESLEY (by Telephone):** One of the
24 things that's bothering me more than what
25 Arjun was talking about is the chronic

1 exposure. We had hundreds of people over the
2 years that spent time out there, that lived
3 out there, either at Mercury or at Area 200
4 where the prevailing winds blew up over the
5 mountains. What bothers me more than these
6 single incidents in the tunnel shots are the
7 people that were out there that got chronic
8 exposure.

9 I agree that it's probably low level,
10 but I mean, I hate to say it, but a lot of
11 these people were getting exposure 24 hours a
12 day from the dust that they were living in and
13 when we'd have dust storms, you know, it would
14 uncover stuff and some things like that. And
15 that bothers me more than the single
16 incidents. I thought y'all were going to go
17 in and look at some of that.

18 **DR. NETON:** Well, Bob, this is Jim Neton.
19 Remember that the SEC has already been granted
20 for these workers between '51 and '62 or if
21 they were there 250 days or more. That's
22 already been granted or is in process.

23 **DR. MELIUS:** And our understanding is that
24 the Department of Labor takes into account
25 residence at the facility. So it's roughly a

1 third or --

2 **DR. ZIEMER:** About 80 days.

3 **DR. MELIUS:** -- eighty days.

4 **MR. PRESLEY (by Telephone):** Okay, so that's
5 already taken care of.

6 **DR. ZIEMER:** The Department of Labor has
7 assured us that they'd take care of that if a
8 person shows they were there 24/7.

9 **DR. NETON:** In fact, I was going to mention
10 just tangentially that of the 61 claimants we
11 have with less than 250 days I don't know how
12 many of those would fall under this criteria.
13 We finally did that analysis. We had realized
14 that Labor was going to apply that where some
15 of the workers --

16 **DR. MAKHIJANI:** One I think actually has
17 more than 250 days at PPG and NTS combined.

18 **DR. NETON:** They're very difficult to
19 decipher. I don't know if you've gone
20 through. I've gone through almost all the
21 claims myself. And you can't really tell
22 because there's a lot of dates there, and
23 they're contractors so they'll be assigned a
24 badge on day one, then they'll show up
25 sporadically, a week, two weeks, a month

1 later, and you can't tell whether that badge
2 represents that entire time period or whether
3 they needed to have new badges and that
4 actually is those 21 that we just don't know.

5 **MR. PRESLEY (by Telephone):** Hey, Jim?

6 **DR. NETON:** Yes.

7 **MR. PRESLEY (by Telephone):** Has anybody
8 looked for housing records? That's something
9 that they kept out there religiously.

10 **DR. NETON:** Not from my, well, I don't know,
11 Bob. I would have to get with ORAU who
12 developed a lot of this.

13 **MR. PRESLEY (by Telephone):** See, we all
14 were housed out there, and you had to sign in
15 the keys and things like that so those housing
16 records were kept religiously.

17 **DR. WADE:** So the chronic exposure issue has
18 been dealt with. The question remaining are
19 these individual exposures.

20 **MR. PRESLEY (by Telephone):** Okay.

21 **DR. ZIEMER:** In other criticality incidents
22 we ought to know who the exposed people were.
23 I mean, if you take the SL1 accident or the
24 Oak Ridge, and in fact, many of those we know
25 the doses fairly well, but if someone were

1 able to establish that they were in that
2 location at the time, under the current rule
3 they would already be covered, right?

4 If someone were able by affidavit to
5 say, well, you know, at Oak Ridge we have
6 those five individuals, but in fact, I was in
7 there with them, and they didn't do dose
8 reconstruction on me or do the mock-ups or
9 somehow establish that they were there. And
10 what would you do? You would take, what, the
11 highest exposed person and say, well, or
12 something --

13 **DR. NETON:** Where were you? How long were
14 you there?

15 **MR. ELLIOTT:** The first attempt would be a
16 dose reconstruction attempt based on the data
17 and the information at hand.

18 **DR. ZIEMER:** But if they were already part
19 of a, or they weren't part of an SEC, but had
20 an SEC cancer and showed that they were in
21 there at that time --

22 **DR. NETON:** Then we would reconstruct the
23 dose.

24 **DR. ZIEMER:** -- we would still try to
25 reconstruct the dose.

1 **DR. NETON:** A criticality event in and of
2 itself doesn't grant you SEC status.

3 **DR. ZIEMER:** I know, so we'd have to try to
4 --

5 **MR. ELLIOTT:** I don't believe the
6 criticality event at Y-12 is bounded by the
7 current classes that have been established
8 there.

9 **DR. ZIEMER:** No, it hasn't. And I'm trying
10 to think of these tunnel ones where we have a
11 lot of data on people who did go in and the
12 issue is that, yeah, but a lot of times we
13 weren't wearing our badges because we were
14 told not to.

15 **DR. MAKHIJANI:** There are a couple of
16 different issues with the tunnel workers
17 specifically. I think for '61 that the
18 tritium data may not be an issue. I don't
19 know how complete they are, but there are
20 quite a lot of tritium data. So that probably
21 can be reconstructed in some way, and there
22 are quite --

23 **DR. ZIEMER:** It's hard to deliver real high
24 exposures.

25 **DR. MAKHIJANI:** But whatever there is, you

1 know, there are high-end data and you could
2 put a 95 percentile on.

3 **DR. NETON:** The first case we did at the
4 Nevada Test Site was a tunnel worker who was
5 compensated based on tritium exposure.

6 **DR. MAKHIJANI:** So what I think for the
7 early tritium, '58 and '59 workers, there are
8 no gross fission product data and so no data
9 on exposure to fission products. And then you
10 drop this issue of data integrity associated
11 with these incidents. So I would say that for
12 the tunnel re-entry workers that those are
13 probably the big ones that you can say are --

14 **DR. ZIEMER:** Conceptually, would they have
15 to show that they were a tunnel re-entry
16 person?

17 **DR. NETON:** If that were the basis for their
18 class, yes.

19 **DR. ZIEMER:** If that were the basis for the
20 class then they would have to show that.

21 **DR. MAKHIJANI:** Because the incidents, in
22 principle, or in hypothesis say that exposure
23 to gross fission products, a thyroid dose or
24 something, could be quite high or
25 exceptionally high, then it would be high for

1 that circumstance. So you would be talking
2 about that particular group of workers I would
3 imagine and not people who didn't go into the
4 tunnels.

5 **MR. PRESLEY (by Telephone):** Hey, Lew, this
6 is Bob Presley.

7 **DR. WADE:** Yes.

8 **MR. PRESLEY (by Telephone):** I've got to get
9 off here.

10 **DR. WADE:** Okay, thank you.

11 **DR. MELIUS:** Thanks, Bob.

12 **DR. NETON:** This came out in the first
13 discussion we had with Ames. It's a unique
14 situation where we've evaluated the class.
15 We've come up with 250-day defaults of the
16 criteria because in our searching through the
17 records, we were not able to identify clear-
18 cut, at least, incidents that rose to the
19 level of exceptionally high on the criticality
20 (unintelligible). And now we're sort of
21 trying to go backwards and retrofit this and
22 say are all these workers now, should they all
23 be covered under the less than 250 days? And
24 it doesn't seem like --

25 **DR. ZIEMER:** Or should some of them.

1 **DR. NETON:** Yeah, and you can't do that.
2 You almost have to go back to square one and
3 say are there pockets of workers, classes of
4 workers at Ames or NTS that fulfill this
5 criteria.

6 **DR. WADE:** What Dr. Paige (ph) was saying.

7 **DR. MELIUS:** Exactly, and I'm trying to
8 think what's the best way of getting at this.
9 And it is difficult, very difficult. And I
10 think the evaluation in some way starts from
11 the beginning. I mean, there may be certainly
12 cases where you can reconstruct the doses. I
13 mean, I think you already have in some cases,
14 some just based on what you can do you can
15 qualify. Some you may be able to bound or
16 whatever in a way that's not as appropriate
17 for longer than 250 days. So I mean, it's a
18 real --

19 **DR. NETON:** It's problematic for NTS because
20 we've said I think that we can, we have
21 something that we can do with external because
22 we have a large amount of external. There are
23 gaps. We're missing data, but we have a
24 fairly good monitoring, we think, record for
25 that. We have nothing for internal as Arjun

1 has pointed out accurately.

2 So (unintelligible) is used to assess
3 those internal exposures, you know. DTRA has
4 gone down the path of using some ratio of the
5 external badge result to the internal. And we
6 have decided in our evaluation report that
7 that would not be useful for our program until
8 we set this point.

9 So now we're sort of in a position
10 where we have no metric to use for internal
11 exposures other than maybe these
12 (unintelligible) where we have some tritium.
13 So how do you know how high these internal
14 exposures were other than that they were --

15 **DR. MELIUS:** I will tell you that Arjun and
16 I conversed by, I don't know whether it was by
17 telephone or by e-mail is well do we take the
18 DTRA's effort right now. Because they are,
19 they say they can and use that even if we
20 don't accept it for in terms of sufficient
21 accuracy, do we accept it as a way of
22 estimating the potential magnitude of those
23 exposures that would give us sort of a handle
24 on the endangerment. Is that going to be a
25 useful, would that potentially be a useful

1 approach? And it may be. I mean, I --

2 **MR. ELLIOTT:** Well, aren't we saying in DTRA
3 that we haven't seen the development of their
4 validation of data and their approach yet, and
5 they're working on that.

6 **DR. MELIUS:** Exactly, and some of it was a
7 question of feasibility. I mean feasibility
8 in terms of timing and that sort of
9 feasibility. But I think the context in which
10 we were discussing that was having evidence of
11 being able to reconstruct dose with sufficient
12 accuracy. So, and I don't think that ruled
13 out, you know, of the evaluation of what they
14 come up with. And I felt very comfortable
15 when I was talking to Arjun is that that's a
16 possible way to go, make use of --

17 **DR. ZIEMER:** I'd like to ask Arjun as you
18 reviewed the material, aside from the tunnel
19 workers, were there some other scenarios like
20 ventings that you thought might rise to that
21 level or as far as exposing workers? The
22 ventings were not really in -- come into play
23 there, but I'm just, aside from the tunnel
24 workers which might be a possible subset, what
25 other subsets are there?

1 **DR. MAKHIJANI:** Well, there seem to be
2 pretty high dose rates associated with these
3 flights and helicopters and so on, and maybe
4 the dust that was kicked up. And RAD-safe
5 people who proceeded soldiers into ground zero
6 at very short times after the detonations.

7 **DR. ZIEMER:** To retrieve the --

8 **DR. MAKHIJANI:** To retrieve instruments and
9 so on. There are a couple of other categories
10 like that. There seem to have been some cases
11 where there were logistical mix-ups like the
12 misunderstanding that I quoted where there
13 were some number of people who were
14 overexposed because they found themselves in a
15 high radiation area when they weren't supposed
16 to be there.

17 And there is some idea of the external
18 dose environment. Presumably there might be
19 badge data, but because there was a lot of
20 activity there, then you'd be kind of in a
21 place where you have to identify the internal
22 dose. So there are maybe, I'd say from the
23 work we've done so far maybe those three kinds
24 of examples in addition to the tunnel workers
25 I'd say.

1 Would you consider that a reasonable -
2 -

3 **DR. NETON:** That seems reasonable. I was
4 just looking at the tunnel worker data that we
5 had collected. Out of those 61 that we had
6 the collective external dose for all those 61
7 cases where we had badge data was 24 rem, and
8 58 percent of that was received by the tunnel
9 workers.

10 **DR. ZIEMER:** (Unintelligible) dose was 24?

11 **DR. NETON:** Yeah, combined. The doses are
12 not very high for the people that, of the 61
13 left. I mean, yes, there's some gaps, but the
14 highest annual dose was 4.7 rem and that was
15 by a tunnel worker. You don't rise to this
16 huge level. I mean, yeah, they're high
17 exposures by regulatory maybe standards, but
18 as far as --

19 **MR. ELLIOTT:** I'm sorry. Those are only on
20 the claimants that we have.

21 **DR. MELIUS:** Exactly, and it's also the
22 claimants are less than 250 days, so in some
23 ways --

24 **MR. ELLIOTT:** I think those are on the total
25 claimant population, no?

1 **DR. NETON:** No.

2 **MR. ELLIOTT:** It was just the --

3 **DR. NETON:** We've been trying to figure out
4 given that this is a subset. It's going to
5 have no recourse. What are the metrics here,
6 and they're pretty low. Now there are
7 certainly other populations out there as
8 Arjun's correctly pointed out that we don't
9 know about.

10 **MR. ELLIOTT:** Well, what's our trouble in
11 getting the full dataset from Nevada?

12 **DR. NETON:** We actually do get it. A full,
13 comprehensive dataset?

14 **MR. ELLIOTT:** Yeah, like we get from other
15 sites to develop coworker models, et cetera.

16 **DR. NETON:** There's tons of data out there.
17 I mean, they provide us a very, if you've gone
18 through their files, they're very
19 comprehensive. They provide us, if a guy who
20 participated in a particular shot, you get the
21 report.

22 You get a highlighted version of who
23 was monitored with their name highlighted as
24 to what their dose is. They provided us for
25 individual cases, at least, very, I think, a

1 robust report. I mean, they're missing
2 internal data and such, but I think they've
3 done a pretty good job with that.

4 **DR. MAKHIJANI:** The Nevada data are more
5 voluminous in terms of individuals, but I, at
6 least, have not seen for the atmospheric
7 testing period a compiled data --

8 **DR. NETON:** I don't think there is.

9 **MR. ELLIOTT:** And the point I'm trying to
10 make is the dataset that we're dealing with is
11 pre-selected by those that are claimants.
12 Maybe we're just not seeing the right people
13 come into the door yet.

14 **DR. MELIUS:** And my question that I came up
15 with when you were not here, Larry, was would
16 it be useful to expand that database out by
17 looking at all claimants, not just the less
18 than 250 days. At least it would be a
19 slightly larger, or to borrow Wanda's favorite
20 term, a slightly more robust dataset.

21 **DR. NETON:** I agree, and I think that's what
22 we would propose to use some coworker
23 datasets.

24 **DR. MAKHIJANI:** Not just the 61.

25 **DR. NETON:** Really just pull up the 61 to

1 provide evidence that we don't see the 61 are
2 being singled out.

3 **DR. MELIUS:** Yeah, no, that was just --

4 **DR. NETON:** They're not treated unfairly.

5 **DR. MELIUS:** Since it's not an obvious
6 issue.

7 Would there be a way of, I'm thinking
8 of, can we focus on three different discrete
9 incidents where we think we have some
10 significant amount of data that would be
11 useful? And then so really examine those in
12 more detail and see where that, you know, does
13 that get us in terms of being able to get a
14 better handle on whether these people and
15 those incidents would qualify under the less,
16 potentially qualify under the less than 250
17 day scenario. And then it may still if we
18 come back that that's not the full class, that
19 doesn't lead us to the full class definition,
20 but at least I think it would give a path
21 forward to go in terms of how to approach
22 this.

23 **DR. WADE:** Might reach up to four class
24 definitions. I mean I think you do need to
25 develop, you said the criticality equivalent

1 scenarios, some number of them, and then start
2 to take a look at them and see where it takes
3 you.

4 **DR. MELIUS:** Yeah, as I say in terms of
5 final efficient, an efficient approach may be
6 to come down to when people make claims it's,
7 you know, because there's so many incidents
8 and so many different potential scenarios
9 there we won't have complete data on.

10 **DR. MAKHIJANI:** The one question I have, you
11 know, earlier people seemed to be a little
12 more sanguine about DTRA, but I've looked at
13 it a little bit. And I can't say that I
14 understand all the ins and outs of it, but we
15 do have people who do understand that.

16 From what I know of it, it seems that
17 it would be not hard to come up with a
18 screening mechanism for the routine exposures
19 where there may be some correspondence between
20 internal and external. But in terms of
21 incident-related, I don't know that I've seen
22 anything, any coefficients or factors in the
23 DTRA analysis where you could apply them to
24 incidents. Now maybe you can tweak them to do
25 that.

1 **DR. NETON:** A good point.

2 **DR. MAKHIJANI:** I'm a little bit leery, I
3 think in terms of the radiation environment I
4 think DTRA could be used, but in terms -- just
5 now that I'm thinking of it, before you give
6 me this task, and we --

7 **DR. NETON:** Yeah, I agree with what you're
8 saying, Arjun. You're right. The DTRA model
9 really is a proximity location model, and if
10 you're near the ground zero or further away
11 we'll come up with some sort of a source term
12 based on their parameters.

13 **DR. MAKHIJANI:** And it's an average kind of
14 if you were there.

15 **DR. NETON:** I don't know enough to comment
16 whether they do involve incident analyses --

17 **DR. MAKHIJANI:** It's something we can look
18 into obviously.

19 **DR. NETON:** Yeah, one thing that concerns me
20 though is there's a potential clearly when
21 they're blowing off nuclear weapons in the
22 atmosphere, there's clearly the potential for
23 high exposure of criticality. But I'm not
24 sure that we need to be inventing scenarios
25 that could bring people in. You know, it

1 almost has to be some credible evidence that
2 it did occur, not could it have happened. The
3 mere potential doesn't --

4 **DR. MELIUS:** That's what I'm saying,
5 selecting the incidents. They should be not
6 hypothetical but things that are --

7 **DR. ZIEMER:** Actual case.

8 **DR. MELIUS:** Yeah, and even then they may
9 not be representative of the particular
10 exposure scenarios or whatever you want to
11 call it for other incidents they may run
12 across in the future.

13 **MR. ELLIOTT:** Or representative for the full
14 class.

15 **DR. MELIUS:** Right, but if they can help us
16 to, one, is this path worth the effort to go
17 down for more of these incidents and help us
18 in some way define classes or potential
19 classes, and be able to answer. May say, look
20 it, these exposures, you know, we're either
21 going to be able to reconstruct them
22 satisfactorily or they're just not, the
23 magnitude of exposure isn't sufficient to
24 warrant this based on what we've found so far.
25 That's not to say you're not going to find

1 another situation later that from a claim or
2 series of claims that would do that, but it
3 would --

4 **MR. ELLIOTT:** No, I took what you said
5 earlier to be situation, circumstance
6 dependent like the retrieval of the devices or
7 the monitoring tools before the military
8 walked in or marched in and tunnelers who have
9 to tunnel back after the explosion.

10 **DR. WADE:** Based on SC&A's research to this
11 point I assume that there were a finite number
12 of scenarios you could identify.

13 **DR. NETON:** There are about three --

14 **DR. MAKHIJANI:** There were four. There were
15 four that I identified as examples for this.
16 I don't know that I've surveyed the universe,
17 but we have identified four different
18 potential ones. And I think Jim at least
19 agreed that --

20 **DR. ZIEMER:** It's the obvious ones, and we
21 should ask that question of those and see
22 where it leads. There may be some others that
23 would arise.

24 **DR. WADE:** But you fleshed them out to the
25 degree you can, and then you start one foot in

1 front of the other, the SEC tests.

2 **DR. NETON:** It's not unlike what we're doing

3 --

4 **DR. MELIUS:** Right, exactly, the same thing.

5 And then, but I think back to what Jim said
6 earlier is we have to then develop some sort
7 of consistent approach so we're being,
8 treating everybody fairly, and that would also
9 be a way of helping, at least helping to do
10 that. Again, it may not cover every specific
11 instance but at least would give us a
12 framework in which to --

13 **DR. WADE:** But your general procedure is not
14 to close the door on anything.

15 **DR. NETON:** Yeah, I didn't really capture --

16 **DR. ZIEMER:** People, retrievers --

17 **DR. MAKHIJANI:** Retrievers and the
18 misunderstanding winding up in high radiation
19 areas by misunderstanding, crossed signals.

20 **DR. ROESSLER:** Logistical mess ups is what I
21 wrote down.

22 **DR. NETON:** Can we go through those again
23 because I'm not sure --

24 **DR. MAKHIJANI:** Well, the tunnel workers,
25 the ground zero retrievers, the over-flight

1 people, the people in helicopters flying
2 through the mushroom cloud and so forth, and
3 the logistical mix up, finding themselves in
4 high radiation areas.

5 **DR. ZIEMER:** Yeah, but that one is a little
6 hard for me to identify. I mean --

7 **DR. NETON:** Arjun has one example in here.

8 **DR. ZIEMER:** So the person would have to
9 self identify that that occurred somehow.

10 **DR. MAKHIJANI:** Well, we found them in the
11 general report so --

12 **DR. ZIEMER:** Oh, somebody actually found
13 them there.

14 **DR. MAKHIJANI:** No, this didn't come from a
15 claimant record. This came from a Defense
16 nuclear agency report.

17 **DR. MELIUS:** Can we then again as the next
18 step would be a technical conference call,
19 whatever we want to call it, that would try to
20 define which of these we would specifically
21 look at and then pursue and then sort of
22 figure out who does what to do that?

23 **DR. WADE:** Stipulate what's agreed to about
24 these events. And once you get that body of
25 information, then you start to ask yourself

1 the questions and see where it takes you.

2 **DR. NETON:** It dawns on me that actually
3 I've been looking through a large number of
4 these cases, and it's not uncommon for people
5 to put in their claim application they were
6 involved in incidents and some descriptions,
7 and I think --

8 **DR. MELIUS:** That's why I was thinking the
9 other --

10 **DR. NETON:** -- I think some of these were, I
11 can actually point one out. I ran across one
12 very interesting one.

13 **MR. ELLIOTT:** We actually have one of the
14 over-flight claims, too.

15 **DR. NETON:** Yeah, and see I think we have
16 reconstructed those exposures to some extent,
17 and whether we've captured all of the relevant
18 parts would be reviewed I'm sure. I like this
19 approach. I think it's based on a technical
20 evaluation.

21 **DR. MELIUS:** Right, and we're not pre-
22 judging. I think these are things that let's
23 see where this gets us, and I think --

24 **DR. MAKHIJANI:** So for now then the only to-
25 do item is a technical conference call, and

1 until that we don't proceed with any analysis.
2 Is that the direction?

3 **DR. NETON:** Get started on the Ames --

4 **DR. MAKHIJANI:** No, not on the Ames. We're
5 just talking about --

6 **DR. MELIUS:** The next step is a technical
7 conference call, and then I think as part of
8 that we need to figure out who does what, and
9 it may be dependent on some other datasets
10 involved and so forth, and --

11 **DR. NETON:** And I haven't thought much about
12 these. You guys have a little more --

13 **DR. MAKHIJANI:** Well, yeah, sure, and you
14 have to have time to look at it. Is there any
15 preparation for that call or is what you have
16 sufficient?

17 **DR. MELIUS:** Only that I think organizing
18 what information you have just to say this is
19 what you know about these four types of
20 incidents, what examples you have.

21 **DR. WADE:** Collect everything you have and
22 then dump it across the fence and then
23 everybody's starting from the same --

24 **DR. NETON:** I may need to organize the
25 technical (unintelligible) here so that I

1 don't end up being --

2 **DR. MELIUS:** That's someone from ORAU, I
3 don't know who's, I never know who's involved
4 in this stuff so --

5 **DR. MAKHIJANI:** So we take that information
6 and try to reorganize it in these four
7 categories. That shouldn't be too hard. We
8 won't try to be all inclusive. We'll just
9 take what we have and reorganize it.

10 **DR. NETON:** The idea is to try to identify
11 these scenarios and determine whether we can
12 come up with some dose estimates for these.

13 **DR. MELIUS:** What's the magnitude of the
14 exposure? Is it re-constructible? And how
15 would we potentially define a class if it's --

16 **DR. ZIEMER:** And if not, why not?

17 **DR. MELIUS:** Yeah, why not.

18 **DR. MAURO (by Telephone):** This is John
19 Mauro, just a quick question related to that
20 scope of work. Will any of that, those
21 inquiries include exploring this DTRA
22 multiplier where you convert external to
23 internal using their multipliers, and its
24 strengths and limitations?

25 Because right now it seems we have the

1 black box, those multipliers that we don't
2 fully understand how they do it for chronic,
3 you know, routine exposure but also the degree
4 to which it might have applicability to
5 incidents. How much of that would you like to
6 see us look into as part of this?

7 **DR. ZIEMER:** Has DTRA completed that effort?
8 We need to wait for them to sort of complete
9 that.

10 **DR. NETON:** Well, I think their computer
11 model hasn't been updated, but there's been a
12 number of documents issued. I think one of
13 the main issues we had with their approach was
14 the resuspension issue at NTS. And I think
15 there's a paper on that that's been put out by
16 David Kocher, I believe.

17 **DR. MELIUS:** Why don't you both look into
18 what's available and then do that as part of
19 the technical call. I mean, you're up to
20 date, and your side gets --

21 **DR. MAKHIJANI:** At least collect the papers
22 and --

23 **DR. MELIUS:** -- papers and then what's
24 available, and then we can decide is it worth
25 examining that in more detail or for what type

1 of incidents would it be most potentially
2 applicable or whatever you want to call that.

3 **DR. MAKHIJANI:** We can call David Kocher,
4 and are there others that you know are
5 involved?

6 **DR. NETON:** Well, we should probably work
7 through DTRA themselves, which is Paul --

8 **MR. ELLIOTT:** Paul Blake. I don't know that
9 Kocher's article's been published yet, has it?

10 **DR. NETON:** I don't know that it has. I
11 know there's been drafts circulating.

12 **MR. ELLIOTT:** As we are they're very
13 cautious to share their pre-decisional work.

14 **DR. NETON:** I don't know what the status is.
15 There's a number of documents being worked on
16 that are --

17 **MR. ELLIOTT:** Yeah, I think you should touch
18 Paul Blake first.

19 **DR. MAKHIJANI:** Would that be a NIOSH to-do
20 then to find out --

21 **DR. NETON:** We should probably handle that,
22 determine agency contact.

23 **DR. WADE:** One final thought, I think at the
24 upcoming Board meeting -- we don't need to get
25 into the technical details of this, but I

1 think sharing with the Board the general
2 approach would be very useful. Because this
3 is really sort of ground-breaking stuff. A
4 robust discussion of it should be good.

5 **DR. MELIUS:** What is the group's preference?
6 We want to break for lunch or do we want to
7 charge on and try to complete the discussions
8 of the 83.14s?

9 **MR. ELLIOTT:** How long do you think that
10 would take?

11 **DR. MELIUS:** I never know, but I think we
12 could probably complete it in 45 minutes,
13 about one o'clock.

14 **DR. WADE:** I'd say push on.

15 **DR. ROESSLER:** You've been a good leader so
16 far. I think we can do it.

17 **DR. MELIUS:** Mark, are you still on?

18 **MR. GRIFFON (by Telephone):** Yes, I am.

19 **DR. MELIUS:** Okay, good now, because you
20 were going to be helpful on this.

21 **DR. ZIEMER:** No eating on the side, Mark.

22 **DR. MELIUS:** And is the silver medal winner
23 prepared to move on?

24 **COURT REPORTER:** Yes, sir, always.

25 **83.14 ISSUE**

1 **DR. MELIUS:** Just checking. Since I wasn't
2 on the last Board call for longer than about
3 five minutes, I'm not sure how much you
4 explained about the background and what went
5 on. This is for you, Mark, in terms of our
6 evaluation of the Monsanto and General
7 Atomics.

8 **MR. GRIFFON (by Telephone):** Yeah, we
9 discussed a little background and some
10 additional documents were posted in that. We
11 had a discussion with NIOSH about some of
12 their rationale. And then I guess that we had
13 the spreadsheets for the conference call.

14 I think some people at least on the
15 call on the 11th had access to those
16 spreadsheets that Stu Hinnefeld sent around
17 which gave a little more specifics on, I think
18 that was for general comments. I gave a
19 little background, Jim. I didn't go into it
20 extensively, but I gave a little background on
21 it.

22 **DR. WADE:** Well, we did stop short of the
23 lessons learned and how that would apply to
24 upcoming --

25 **GENERAL ATOMICS**

1 **DR. MELIUS:** And why don't we start with
2 General Atomics, and we actually, I think
3 Larry and I had some, LaVon had some
4 discussions at some point. But I was the one
5 that originally had raised the most concerns
6 about the information there.

7 It grew out of some of the questions
8 that I asked, Paul asked and so forth at the
9 Board meeting. And it was particularly about
10 how it was decided that the class included all
11 the different buildings that were involved
12 that were listed in the evaluation report.
13 And I think that was actually the main
14 question.

15 What was answered satisfactorily which
16 was how well could you locate people within
17 buildings and so forth. But there were
18 specific questions. I think you, Paul, about
19 the reactor building, and then I think we had
20 questions about the laboratory in particular.
21 And my question was did we have enough
22 evidence on the record to justify including
23 all of those buildings.

24 And then in response to those
25 questions and discussions we had with Larry

1 and LaVon and what was available and Mark,
2 these additional tables were made available to
3 us. And I'm not sure if those were new tables
4 or old tables or new tables, what was
5 available. And Mark, these additional tables
6 were made available to us. I'm not sure if
7 those were new tables or old tables or new
8 tables -- new information based on data never
9 been compiled yet. And I'm not sure again if
10 the whole Board got a chance to see those.

11 **DR. ZIEMER:** Yeah.

12 **DR. MELIUS:** They were circulated?

13 **DR. ZIEMER:** We discussed the tables in
14 fact.

15 **DR. MELIUS:** Yeah, okay.

16 **DR. ZIEMER:** There are a couple which
17 were clarified for us.

18 **DR. MELIUS:** Yeah. But I personally
19 thought that part was very useful, and
20 then there was another set of tables,
21 again, assuming this was discussed,
22 which was sort of breaking it down by
23 radionuclide and sort forth, which was
24 also -- at least to me at the time of
25 reading the report, hearing the

1 evaluation, was not clear.

2 **MR. ELLIOTT:** And during the call we
3 committed to adding those tables as a
4 supplement to the evaluation report.

5 **DR. MELIUS:** Yeah.

6 **MR. ELLIOTT:** Or did I just dream that?

7 **MR. RUTHERFORD:** I think you just
8 dreamed that.

9 **DR. MELIUS:** Let me clarify, 'cause it
10 was actually as part of the call that
11 you and Mark and I were on, sort of the
12 technical consultation call.

13 **MR. RUTHERFORD:** I wasn't.

14 **DR. MELIUS:** You weren't, yeah, well I
15 was. And what we agreed to was that
16 these would be given to the Board for
17 our next conference call as a supplement
18 to the evaluation report, so we would
19 get them on the record in some way. And
20 again, I just thought those were very
21 useful and I guess a lesson learned is
22 that I think that type of information is
23 useful either in the evaluation report
24 or you know, as a supplement to the
25 discussion of the evaluation report.

1 Again, you're in a tough spot, how big
2 and voluminous do you make this, this
3 report?

4 **MR. RUTHERFORD:** That's definitely the
5 challenge. The challenge is, you know,
6 I mean 83.13 we typically go into that,
7 we put all of that information in there,
8 83.14's, and it's definitely a lesson
9 learned, you know, General Atomics
10 specifically, because there were many
11 radionuclides and many other issues
12 besides just the thorium issue that we
13 should have been a little more
14 descriptive on. We should have brought
15 the -- those tables would have
16 definitely made the picture clearer. I
17 agree with you.

18 **DR. ZIEMER:** And the final letter, also,
19 to the Secretary has both the buildings
20 where things were done and the
21 exclusions which I think you had --

22 **DR. MELIUS:** Yeah, that was in response,
23 actually Pete Turcic sent a note and the
24 table was clear enough that I thought it
25 was useful to add. I wasn't sure it

1 made it to the final letter 'cause I
2 wasn't on the call.

3 **DR. ZIEMER:** Yeah, it did. Actually I
4 hand delivered those letters to Lew
5 today, so they will go to John Howard
6 shortly. And as soon as the minutes are
7 available from that meeting, the package
8 will be complete. And those tables
9 become part of the deliberations also.

10 **DR. MELIUS:** Yeah.

11 **MR. ELLIOTT:** Part of the lessons that
12 we've learned in this experience also
13 goes to what we have on the open drive
14 for Board and SC&A access to understand
15 our position. We realized that we need
16 to have a specific folder relevant to
17 each case so that you can go in there
18 and you can see all of the information
19 that is used to build our position.

20 **DR. MELIUS:** Right.

21 **MR. ELLIOTT:** And so we've challenged
22 ORAU and everybody working on these to
23 set aside a folder and if we have to
24 duplicate information from other parts
25 of the SRP, that's fine, but put a

1 folder that's relevant to each 83.14 and
2 probably each 83.13.

3 **MR. RUTHERFORD:** We've been doing it
4 because, the 83.13's, we put together
5 folders for them.

6 **DR. MELIUS:** That would be useful 'cause
7 ---

8 **MR. GRIFFON:** And on that I agree, but I
9 guess my -- the final tables we got were
10 very helpful because they kind of
11 bridged the gap between initially what
12 was provided on the O drive for General
13 Atomics and Monsanto were all the PDF
14 documents, all the background health and
15 safety reports, et cetera, thousands of
16 pages of it. I guess what I was looking
17 for is something -- and I don't think it
18 necessarily has to be part of your final
19 report to us, but the the analysis
20 process that lead up to okay we've got
21 all these reports, you know in the
22 presentation, you know you make a final
23 summary statement such as there wasn't
24 enough data for fission products to do
25 any kind of dose reconstruction, you

1 know to handle dose reconstructions.
2 You know, where, where, where's your
3 analysis document that says, you know,
4 we looked through all these health and
5 safety reports, this is what we found,
6 this is why it's sufficient, and this
7 supports our final position on this, you
8 know, something... And I think these
9 spreadsheets for you, you know, at the
10 end it was very helpful to that end, you
11 know, so that's what I was looking for,
12 some kind of analysis of in between the
13 final report and the overall data.

14 **MR. ELLIOTT:** Right, right, Mark. I
15 think that's, you know you made a very,
16 very great, substantial comment there,
17 and what we took away from that is that
18 looking at the evaluation report and the
19 summary page, page two or three I think
20 it is, where it has a section that talks
21 about the feasibility, we were not
22 explicit in our analytical position that
23 we were taking, and you know, we've
24 taken that to heart and we will, I hope,
25 not see that happen again as we produce

1 these documents in the future.

2 **DR. WADE:** I think there are two
3 thoughts to keep in mind as to the
4 foundation for what we are talking
5 about. I think it's terribly important
6 that when the Board takes an action, it
7 takes an action upon a record that is
8 complete and goes to all aspects of the
9 issue. Now you might say why worry
10 about 83.14? We're attempting to be
11 generous. But the Board always has to
12 be prepared to grapple with the issues
13 of fairness and consistent behavior, so
14 with that in mind -- It doesn't, not
15 only has to be in the evaluation report,
16 but it needs to be put into the record
17 when the Board is considering these
18 things, so that there is a way to show
19 why it was, yes here, and when someone
20 comes and says well why didn't you do it
21 for me, we have the basis for giving
22 that.

23 **MR. ELLIOTT:** You mentioned another good
24 word there, Lew, consistency, and we
25 are, we took that part as well, and we

1 don't want to be inconsistent, and ORAU
2 has started to put together a table or
3 matrix or something that will start
4 speaking to consistency. It will list
5 all of those that we have treated thus
6 far and show how, you know, the
7 outcomes of those treatments, and make
8 sure that we are applying the rule in a
9 consistent fashion.

10 **DR. MELIUS:** Good.

11 **MR. ELLIOTT:** And we'll be ready to show
12 that to you at some point in time; I
13 don't know when.

14 **MR. RUTHERFORD:** We have the initial
15 draft already.

16 **MR. ELLIOTT:** Bomber's seen it. I
17 haven't seen it. It's forthcoming.

18 **MR. RUTHERFORD:** You know, the other
19 thing on the 83.14's that I think is a,
20 you know, a challenge, and I think we
21 came up with a pretty good -- well, not
22 just the 83.14's, but even the 83.13's
23 to a certain extent, I think we came up
24 with a good path forward with the
25 General Atomics and Monsanto and others,

1 is recognizing that you know we've
2 identified a class here, an issue that
3 we can't reconstruct dose, we can't
4 reconstruct thorium, we can't
5 reconstruct these other doses, you know,
6 that we identify in a report. You know,
7 it doesn't make sense to evaluate every
8 aspect of a facility to an exhaustive
9 process, you know, that's gonna take,
10 you know, a full year to do, when we can
11 identify this class of people that are
12 affected by our inability to do dose
13 reconstruction for a certain -- and then
14 move that forward through an 83.14 if at
15 some later point through our reviews we
16 identify that there are additional
17 issues that add to that class, we move
18 on with an additional 83.14, and I think
19 we came to a pretty good agreement on
20 that.

21 **DR. WADE:** As long as you make that very
22 clear to the Board as it deliberates.

23 **MR. RUTHERFORD:** Right.

24 **DR. MELIUS:** And there are going to be -
25 - You stated different ways, but you

1 usually say you believe you can
2 reconstruct dose or where you, yeah, and
3 do that, and if it turns out you can't,
4 that may or may not define or change the
5 class definition. In most cases it may
6 not, but there, certainly it's possible,
7 some with multiple buildings or types of
8 processes where it could, there would be
9 additional members that are --

10 **MR. ELLIOTT:** Certainly with the large
11 DOE sites that becomes an issue. On the
12 Atomic Weapons Employers' sites where
13 they had a very discrete task, the time
14 frame they were doing the task perhaps,
15 there's not a lot of other ancillary
16 processes, it makes sense to us to move
17 forward quickly with what we can't
18 reconstruct.

19 **MONSANTO**

20 **DR. MELIUS:** Yeah. Right. Mark, do you
21 want to talk about Monsanto if there are
22 any additional...

23 **MR. GRIFFON:** I think we got our bottom
24 line. I'm not sure. I think we've got
25 a good path forward.

1 **MR. ELLIOTT:** Just for the record.
2 Monsanto was an 83.13, but yet we, you
3 know, we recognize that we couldn't
4 reconstruct a portion of the dose there
5 and essentially come forward kind of
6 like in a guise of --

7 **DR. MELIUS:** Right, right. Many of us
8 were fooled about that. And again,
9 these are ones where there's not been
10 sort of site profiles and so the Board's
11 coming on this site for the first time,
12 and isn't that some of the issue, where
13 there's been a site profile already or
14 discussion of site profile, then I think
15 that's a very different situation in
16 some ways 'cause we have discussed some
17 of the data issues, some of the dose
18 reconstruction issues, so forth.

19 **DR. WADE:** I have a procedural question
20 for the work group. Do you imagine that
21 the work group will issue general
22 guidance on this topic to NIOSH and
23 NIOSH will follow it, or will the work
24 group want to screen these 83.14's
25 before the full Board sees them? I'm

1 not advocating either way, but what's
2 your sense?

3 **DR. MELIUS:** I'm not sure how the others
4 feel; I'm not sure yet. I think
5 potentially it's helpful to have a
6 screening process in place for those
7 that are not, again, where there's not
8 background site profile, whatever.

9 **DR. ZIEMER:** If there's not already a
10 specific work group.

11 **DR. MELIUS:** Right, right, work group
12 involved and so forth. So it's useful
13 'cause it may identify other issues that
14 need to be clarified and given the
15 amount of time and given the potential
16 numbers of these, that's the other thing
17 that's, I think Larry pointed out at the
18 last meeting. We're potentially seeing
19 a large number and I think in order for
20 the Board to deal with it most
21 efficiently it may be better to have
22 prescreening, so to the extent the work
23 group, or this work group or however we
24 decide to handle it, a subcommittee or
25 whatever, can identify some issues that

1 need clarification before presentation.
2 Or say that, you know, somebody that's
3 not part of that brings up an issue, say
4 well we discussed that at you know
5 meeting, we're satisfied or whatever.

6 **DR. WADE:** I assume Liz is going to
7 raise a caution here? Liz, are you
8 trying to speak?

9 **MS. HOMOKI-TITUS:** I was. I was just
10 going to say that if that's going to be
11 a standing direction, you're going to
12 have to set up a subcommittee for it or
13 set up work groups for each individual
14 one.

15 **DR. MELIUS:** Which is why I mentioned
16 subcommittee lists 'cause I knew you
17 were about to --

18 **DR. WADE:** I thought Liz was going to
19 mention we need to deal with issues of
20 whether or not these are public meetings
21 because we're going to be dealing with
22 issues before these reports have been
23 made public, and the work group or the
24 subcommittee's going to have to decide
25 how it's going to deal with that

1 information.

2 **DR. MELIUS:** Yeah.

3 **MR. RUTHERFORD:** Would that be before or
4 would it be re-issue the report to the
5 Board and petitioners and then the work
6 group has a discussion about, or do we
7 actually issue it to 'em as a draft?

8 **DR. MELIUS:** I would think if you issue
9 an evaluation report and then we could
10 hopefully time it in a way that this
11 subcommittee or work group, however we
12 decide to go forward, reviews it, and
13 then if the, there was additional
14 information it would be supplemented. I
15 think it's just better if the Board only
16 really has to deal with it once if
17 possible 'cause there's just so many of
18 these, every time we bring it up then
19 everybody has to be refreshed and so
20 forth.

21 **DR. ZIEMER:** Yeah, you don't have to
22 change your process, I don't think, and
23 recognize that really this is kind of --
24 arose as a mirror image of the original
25 cases where you were trying to convince

1 the Board you could reconstruct dose,
2 and I know I was saying and Mark was
3 saying, convince us that you really
4 can't. Some of these, gee, you ought to
5 be able to reconstruct that, it looks
6 pretty simple.

7 **MR. RUTHERFORD:** Sure.

8 **MR. ELLIOTT:** My only concern about the
9 time of intervention here is the 180 day
10 mark, but I would prefer that we develop
11 our report and finalize it and then send
12 it to you guys, or the full Board, and
13 you guys take it up, I mean half of the
14 full Board, and do whatever you want to
15 do with it. I'm a little concerned --

16 **DR. ZIEMER:** I don't think you want to
17 get us involved in your 180 day --

18 **MR. RUTHERFORD:** Yeah, 83.14's, we
19 typically would not get into 180 day
20 issue because -- well I mean we
21 typically keep ourselves on a clock, but
22 we've never really -- because it's an
23 83.14 we've made the decision, you know
24 --

25 **MR. ELLIOTT:** I don't know that I agree

1 with that. Because you touch a claimant
2 and you say to the claimant we can't
3 reconstruct your dose, we want to go
4 83.14. In my mind the clock starts
5 right there.

6 **MR. RUTHERFORD:** Oh, I agree we do. My
7 point is is that --

8 (Whereupon, multiple speakers spoke
9 simultaneously.)

10 **DR. WADE:** But isn't the process where -
11 - just so I understand the process -- so
12 NIOSH will come out with an evaluation
13 report, then the subcommittee will take
14 a look at it. If the subcommittee finds
15 something, then NIOSH will have to
16 modify their evaluation report.

17 **DR. ZIEMER:** Depending on the situation,
18 we may have a work group.

19 **DR. MELIUS:** Yeah, there's options, but
20 I also think there's this issue, and we
21 talked about this before, is that the
22 NIOSH evaluation should be independent
23 of the, you know, so you're presenting
24 your recommendation to us, then we take
25 action from there, and you know...

1 **DR. WADE:** And it can all be done
2 publicly so that --

3 **DR. MELIUS:** Right, and then we also --
4 however this belief that we make some
5 effort to invite, you know, claimant
6 representatives or whoever to the extent
7 that's appropriate and they're available
8 and interested to participate in this.

9 **DR. WADE:** February's meeting will
10 explore the issue of work group,
11 subcommittee, how we want to do this,
12 when you make your report.

13 **MR. RUTHERFORD:** Can I ask then, you
14 know, we have a Dow Chemical evaluation
15 report in-house for final review right
16 now that assuming that we don't have any
17 major issues, is going to be out the
18 door.

19 **MR. ELLIOTT:** 83.14.

20 **MR. RUTHERFORD:** It's an 83.14. It'll
21 be out the door next week. And you know
22 I'm just trying to -- with this
23 mechanism --

24 **DR. MELIUS:** Yeah, there's no mechanism
25 right now. What I think is out there,

1 if you can do this O drive procedure for
2 this, we'll let people know that at the
3 time --

4 **MR. ELLIOTT:** I think we can send that
5 report out to the full Board and the
6 petitioners, we set up our O drive as we
7 talked about, and then you guys on this
8 working group can look at it and say,
9 you know, is there something there that
10 you don't understand that we missed the
11 mark on, and tell us what you feel.

12 **DR. MELIUS:** And I think we're assigned
13 to do that.

14 **MR. ELLIOTT:** And you can even talk
15 about your process.

16 **DR. MELIUS:** Yeah, we do that
17 individually. If we have an issue we
18 may want to convene that working group
19 just before the meeting or lunch the
20 first day or whatever.

21 **DR. WADE:** We can do that. Excellent.
22 And then you guys will heed the lessons
23 learned when you make the presentation
24 in February.

25 **DR. MELIUS:** Yeah.

1 **DR. WADE:** We'll be wiser for it.

2 **MR. ELLIOTT:** I hope this Dow report, I
3 hope I'm not speaking out of school
4 here, but I'm hoping that this Dow
5 evaluation report will also speak to the
6 residual contamination period, which
7 will be something new that you all have
8 not seen before, and that's why I hope
9 we get your commentary and feedback on
10 it. We are going to face these more and
11 more in our future, and I know there's
12 high expectations among the claimant
13 population about the residual period and
14 what that brings to them.

15 **MR. RUTHERFORD:** You know, and this is
16 actually something that we talk to the
17 claimant, or petitioner, about, you
18 know. If for example the 83.14 Dow
19 identifies just the operational
20 (unintelligible) period and it says we
21 can do dose reconstruction for the
22 residual period, that doesn't prevent us
23 from, you know, we can, the Board can
24 approve that class, not agree or
25 disagree on residual period, and request

1 further evaluation on that residual
2 period. And then it could possibly be
3 an additional 83.14 and then, you know,
4 I'm just throwing that out.

5 **DR. MELIUS:** It raises the issue which
6 is, there's no easy answer to, which we
7 talked about a long time ago, with what
8 do you have, you know, somebody that's,
9 you know, 200 days in the 83.14, the
10 period, and then has all this other
11 additional dose later on. I mean it's
12 just a hard, it's a conundrum and we
13 can't... I don't think we're going to
14 solve it here today.

15 Good. Any other comments on that?
16 If not, we'll close. I apologize on our
17 poor estimate of how long this will
18 take, but I have a 7:50 flight tonight,
19 so it wasn't... expecting to get out of
20 here any sooner.

21 **DR. WADE:** It was a very productive
22 meeting.

23 **DR. MELIUS:** But appreciate everybody's
24 effort in discussion, and we'll see you
25 back in Cincinnati, or I guess across

1 the river in Cincinnati, wherever we're
2 meeting, in a few weeks. That's it,
3 thank you.

4 **DR. WADE:** Thank you.

5 (Whereupon, the working group concluded at
6 12:30 p.m.)

7

8

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 17, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 24th day of March, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**